

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL 1
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON WELDON SPRING

+ + + + +

TUESDAY
FEBRUARY 14, 2012

+ + + + +

The Work Group convened, via teleconference, at 10:00 a.m. Eastern Standard Time, Richard Lemen, Acting Chairman, presiding.

PRESENT:

RICHARD LEMEN, Acting Chairman
DAVID B. RICHARDSON, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

ALSO PRESENT:

2

TED KATZ, Designated Federal Official

RON BUCHANAN, SC&A

MONICA HARRISON-MAPLES, ORAU Team

DAVID HARRISON, ORAU Team

STU HINNEFELD, DCAS

KAREN JOHNSON

MARY JOHNSON

JENNY LIN, HHS

ROBERT MORRIS, ORAU Team

MARK ROLFES, DCAS

LAVON RUTHERFORD, DCAS

DAN STANESCU, ORAU Team

JOHN STIVER, SC&A

TINA TRIPLETT

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

C-O-N-T-E-N-T-S

	3
Call to Order	4
Roll Call	4
SC&A Review of NIOSH Response Concerning the Daily Weighted Exposure Data	8
Ron Buchanan SC&A	8, 24, 25, 27
John Stiver SC&A	14, 23
Mark Rolfes NIOSH	19, 28
Robert Morris ORAU	20, 23, 24, 26, 27
Questions and Comments	17, 31, 41
Rolfes-Clawson Correspondence on WS Operational Dates - Thorium Processing	36
Mark Rolfes NIOSH	36
Questions and Comments	39, 57

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 P-R-O-C-E-E-D-I-N-G-S 4

2 (10:01 a.m.)

3 MR. KATZ: Okay. Dick, do you
4 want to, then, lead? We have an agenda. It
5 is posted on the website, and I believe there
6 is a document associated with it which should
7 also be posted on the website. That is the
8 NIOSH website under the Board section of the
9 website, under meetings.

10 And, Dick, it is your agenda, I
11 guess. We don't have Mike here.

12 MEMBER LEMEN: Okay. I am not
13 really prepared, but I will start and we will
14 just follow the agenda and see where we go.

15 The first item on the agenda is
16 the SC&A review of NIOSH response concerning
17 the daily weighted exposure data. I believe
18 that they submitted a report in January, and I
19 believe Ron Buchanan was the senior person on
20 that.

21 So, Ron, can you take over from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 here?

5

2 DR. BUCHANAN: Okay. Thank you.

3 This is Ron Buchanan with SC&A.

4 Just to give you a little bit of
5 background, we had a number of SEC issues and
6 we have addressed those over the last year and
7 a half, two years. One of the last ones was
8 concerning the daily weighted exposures that
9 was to be used for the thorium data air
10 concentrations.

11 What this entails is that in the
12 SEC evaluation, they did have a table in there
13 of thorium air concentrations. One of the
14 things that had been identified at other sites
15 was what was the accuracy of this data. In
16 other words, was there any errors in recording
17 this data or the calculations?

18 And so, NIOSH went back and looked
19 at some of the data from 1958 through '65 and
20 did an error analysis on the air sampling
21 data, the daily weighted average, to see what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the errors were, and they came up with the
2 fact that they identified some errors in the
3 data and that this was about a 2 to 4 percent
4 error rate, which agreed somewhat with
5 previously published articles in the Health
6 Physics Journal.

7 And so, what SC&A did was try to
8 see if this applied to the thorium data that
9 was listed that was going to be used in dose
10 reconstruction. So, we did an evaluation of
11 this last summer or fall and issued a report
12 in September of 2011. NIOSH responded to that
13 report, and this was discussed somewhat at the
14 28th of November Weldon Spring Work Group
15 meeting.

16 We responded to their response,
17 which came out just a day or so before the
18 meeting, which we didn't have time to analyze
19 for the meeting. And so, the 17th of January,
20 we responded to their second revision, or
21 their first revision of their original paper.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 What we found out was that we went
2 back and looked at this 82 lines of data,
3 which essentially NIOSH had gone into the data
4 pool, looked at the method that was used to
5 record this daily weighted average, and looked
6 at the errors. And so, we looked at the 82
7 entries in there to see how they applied to
8 thorium data. And so, this was our response
9 of the 17th of January of 2012.

10 Essentially, some of it was
11 carryover from what we had said in our
12 September report. NIOSH did provide a section
13 in there on how to apply the data and came up
14 with the Monte Carlo simulation and the 4
15 percent error rate.

16 But we had questioned, SC&A had questioned the
17 representation of the data to the thorium data
18 that was used. The data that made up the 4
19 percent error rate came from 1958 to 1965.

20 Now I am summarizing.

21 Mark, you can correct this if I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 wrong when you explain your position on it,
2 But we found that 82 lines in there was from
3 `58 to `65, the sample, and we had several
4 problems with it. Number 1 was there was a
5 limited amount of sample in data of the
6 original handwritten material. And so, you
7 could only go back to the handwritten material
8 to analyze errors to see how they transcribed
9 or miscalculations. And so, the error rate
10 was determined from looking at all this data.

11 The No. 1 question we had there on
12 our paper was that the data was limited, but
13 not all of it was used. And so, we could not
14 see what the criteria was for what data was
15 used and what wasn't used. It didn't seem to
16 be the highest data, the highest daily
17 weighted average. So, we weren't sure why
18 some of it was used, some of it wasn't.

19 And secondly, of the 82 lines of
20 data, from `58 to `65, only 17 corresponded to
21 the thorium error data taken. The thorium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data did not have any of the raw data
2 recorded. The way my understanding was, there
3 was no raw data, and I couldn't find any raw
4 data on the thorium. It had the summary
5 results.

6 And so, we are applying the
7 uranium taking data over '58 to '65 to thorium
8 data from '63 to '66 to determine the error.
9 Now we are not using the same data. We are
10 just saying, what could the possible error
11 rates be?

12 And so, we found that only 17 of
13 these lines, these entries, corresponded to
14 the '63-to-'66 error that the thorium was
15 used, and that would be applied to in dose
16 reconstruction. So, you could see that there
17 would be different operators taking this data
18 at different times.

19 So, we have mainly the problem in
20 our summary, as we say, of the
21 representativeness of the uranium data that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was used to determine the 4 percent error
2 rate. Is it applicable to the thorium data?
3 Why wasn't all the data used?

4 John Stiver, would you like to add
5 anything to that? That is a summary of that
6 paper that we turned in on the 17th. You have
7 worked on this at Fernald and some of the
8 other sites. Would you have anything to add
9 to that?

10 MR. STIVER: Yes, thanks, Ron. I
11 think you summarized it pretty well, although
12 I would like to make a distinction here.

13 When we are talking about the
14 limited data, there is an issue not in the
15 representativeness as an issue, not because
16 necessarily we are looking at thorium or high
17 DWEs, because we are essentially looking at a
18 human error rate in transcription. So, I
19 mean, it doesn't matter; when you are using
20 it, you are looking at the same process.
21 Basically, you are looking at the exact same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 calculations for DWE, whether you are looking
2 at thorium or uranium.

3 So, the nuclide or the magnitude
4 of the particular DWE doesn't count. What
5 counts is which operators were doing the
6 calculations and whether the operators who
7 were sampled in this small set of data can be
8 considered to be representative of the types
9 of errors that might occur during the period
10 when we are interested. And so, I think we
11 ought to make that distinction.

12 As far as the approach they used,
13 I think it is a very scientific, credible
14 approach, doing the Monte Carlo calculations
15 of the distribution of DWEs without the
16 blunders or the errors as a baseline set, and
17 then constructing an error rate distribution
18 at the rate at which the errors occur during a
19 Monte Carlo calculation or simulation. You go
20 out and sample from that error distribution
21 and, then, create a second distribution for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comparison as a baseline. 12

2 And that is what they did, and
3 they found about 2.5 percent higher at the
4 geometric mean and 4.5, 4 percent or so, at
5 the 95th percentile. And so, this was a
6 little higher than what you might expect from
7 the human error rate, which is typically about
8 1 percent or so.

9 Although what was kind of
10 interesting is it did look like they captured
11 one of the bad actors here, if you want to
12 call it that, because I think it was 40 out of
13 50 of the arithmetic errors were attributed to
14 one operator who made the same mistake 41
15 times. And so, when that particular person
16 was removed, you are looking at close to about
17 a 1 percent error rate, which is kind of a
18 random-type area you consider.

19 But that does kind of raise the
20 question of, well, you captured one guy who
21 was making a lot of mistakes, but because you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have such a limited dataset, were there¹³
2 potentially others that might have resulted in
3 more error? I guess the bottom line on this
4 whole exercise is that 4 percent of the 95th
5 percentile is not really all that large of a
6 magnitude, I think the reason being that most
7 of these errors result in a factor of two or
8 less under-representation and some result in
9 an overestimate. And so, there is a tendency
10 to balance each other out a bit.

11 And it is also interesting that
12 the magnitude and types of errors that were
13 identified in this dataset were virtually the
14 same as identified in the Adam Davis and Dan
15 Strom paper from 2008, which is the
16 fundamental basis for the uncertainty
17 estimates that are used in the DWE coworker
18 model.

19 That's really all I have to say.
20 I would say SC&A is fairly satisfied with the
21 approach. We are a little concerned that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data may not be, because it is such a small¹⁴
2 dataset, that it may not be representative of
3 the full range of errors that may have
4 occurred.

5 That's all I have to say on the
6 subject.

7 MEMBER LEMEN: Okay. This is Dick
8 Lemen.

9 Before we go to NIOSH for their
10 response, could I ask Board Member Richardson,
11 I know that you had comments in the Tampa
12 meeting. Would you have any comments at this
13 time, Dave?

14 MEMBER RICHARDSON: I was less
15 concerned about kind of the error issues than
16 going back to what sounded from your report
17 about perhaps the lack of clarity in how NIOSH
18 had selected the data or the lines of data, as
19 you are describing them, for inclusion versus
20 exclusion. Is that still on the table, this
21 issue that you called representativeness of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the limited data? 15

2 MR. STIVER: This is John Stiver
3 again.

4 We would like NIOSH to at least
5 identify what the criteria were for selection
6 because it looks like there were some
7 handwritten calculations that were not used,
8 but there was no explanation as to why they
9 were excluded from the analysis.

10 MEMBER RICHARDSON: Okay. I would
11 just like to keep that, because, to me, it
12 sounds like it was a question that you had
13 posed before that hadn't been addressed and it
14 has been posed again. I don't want to lose
15 sight of that.

16 MEMBER LEMEN: Any other comments,
17 Dave?

18 MEMBER RICHARDSON: No, that's it.

19 MEMBER LEMEN: Okay. Mark Rolfes,
20 do you want to make any comment on this? Or
21 is there someone else at NIOSH that would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rather speak? 16

2 MR. ROLFES: Well, I will give a
3 sentence or two, and, then, probably refer to
4 Bob Morris here to answer some of the
5 technical aspects of things.

6 I think SC&A summarized both their
7 position as well as our position pretty well.

8 Let's see, we used the raw data that were
9 available to us. Although we might have had a
10 summary report much more frequently, we didn't
11 always have all the raw data available to us.

12 So, we used the raw data that we had
13 available to us.

14 I think we covered about 1400
15 different operations and had about 80 pages of
16 data that were analyzed to look at the error
17 rates or blunders in the daily weighted
18 exposure reports. And then, we went back and
19 corrected those blunders and came up with the
20 roughly 4 percent difference in thorium intake
21 at the 95th percentile, once we addressed all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those errors in how the data was recorded and
2 reported.

3 I think, as far as the number of
4 operators that were involved in completing
5 these reports, I believe that was a limited
6 number of people who were reporting these
7 results.

8 That is my intro, and I would like
9 to turn it over to Bob to maybe address some
10 of the specific questions and concerns
11 regarding the representativeness of the data.

12 That is the biggest concern that we are
13 discussing.

14 MR. MORRIS: Okay. Hello. This
15 is Robert Morris. I am a health physicist
16 with the ORAU team.

17 As you said, I think we have all
18 agreed this is a rehash of what I said in our
19 last Work Group meeting, which is that we had
20 no bias and no sampling plan going into the
21 process of identifying. I mean, we had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 initially hoped to identify DWE reports and
2 the corresponding raw data that went into
3 generating those reports and then selectively
4 picked the ones that were very pertinent to
5 the operations of interest.

6 When we got into the data and
7 actually looked to see what was available,
8 what we found was that the raw data were not
9 available with the reports, and it was only in
10 rare cases where we could make a positive
11 association between a worksheet and the daily
12 weighted exposure report.

13 Excuse me. At some locations,
14 they called it daily weighted average. At
15 some locations, this practice was called daily
16 weighted exposure. So, since I have been
17 working on sites that have these in common, I
18 am using the term identically. I don't mean
19 to imply there is any difference in the
20 process, whether I use the word exposure or
21 average at the end.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, what we found was that we have
2 identified all of the data in our evaluation
3 that we could positively associate with the
4 daily weighted exposure report. And then, we
5 took all of that data and we sampled it. As
6 you said, the blunders we identified are
7 tabulated at the end of the report. I think
8 we identified about 1400 opportunities to make
9 a mistake, and then we identified the 80
10 mistakes, or something like that.

11 So, I am a little bit puzzled
12 because the report that we got back from SC&A
13 with the critique on this doesn't really give
14 us the specifics of what we did wrong in terms
15 of finding data that they succeeded in
16 finding. I think that is one of the comments
17 that you have had, but we haven't been able to
18 substantively address that because I don't
19 have the details.

20 So, maybe I will just throw that
21 back. If it is of interest, we need more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information to actually follow your leads that²⁰
2 were developed, Ron and John.

3 MEMBER LEMEN: Does SC&A have
4 something to respond to that?

5 MR. STIVER: Ron, did you want to
6 say anything?

7 (No response.)

8 MR. STIVER: I am not aware of
9 what data that may have been available that
10 wasn't used. It was just our understanding
11 that maybe there might have been some
12 available data that was not used. From what
13 Bob Morris is saying, you did indeed capture
14 all the data that were available that could be
15 associated with DWEs, is that correct?

16 MR. MORRIS: Well, that was our
17 intent. We worked hard to try to find the
18 data. I am not saying we were perfect in
19 finding all of it, because, you know, it is
20 spread over hundreds, or perhaps thousands, of
21 pages of handwritten records. But, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we made a good effort to try and find it, and²¹
2 we spent a bit of time on it.

3 If you have some other leads for
4 us, we will be happy to look at them.

5 DR. BUCHANAN: Okay. Yes. This
6 is Ron Buchanan with SC&A.

7 Okay. So, I went back and looked
8 at some of the Site Research Database
9 references that you provided. Some of the
10 data, the handwritten data was like it might
11 be pages 8 through 14 and maybe the ones that
12 you referenced was page 8 and 11, or
13 something. And then, there was other data in
14 between, if I recall right.

15 Are you saying that you didn't
16 have the summary report that corresponded to
17 those other sheets?

18 MR. MORRIS: In general, that
19 would be the case. We couldn't make a
20 positive relationship between an air sample
21 result, for example, and the daily weighted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposure document that it may have been²²
2 associated with. Where we did succeed in
3 finding those positive, direct relationships
4 between a data entry on a form and an exposure
5 is where the working papers happened to have
6 been filed along with the final report. That
7 generally was not the way they have handled
8 the record at Weldon Spring.

9 And then, we were hesitant, if
10 there was an air sample, well, could this air
11 sample have been associated with that daily
12 weighted exposure report? It could have.
13 Could we prove that it was? No, we couldn't.

14 There was a lot of ambiguity in the
15 relationship because the working papers were
16 associated with the final reports.

17 DR. BUCHANAN: Okay, yes, I guess
18 that is the clarification that we hadn't had
19 in the past. Let me reiterate a few things
20 here so we understand each other.

21 You are saying the summary report

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or the calculations were done and the raw²³
2 data; you wanted to make sure that those two
3 correlated, and there might have been more raw
4 data there available but you didn't have a
5 summary report that correlated exactly with
6 that. And so, you didn't use, say, page 9 and
7 13 and 11 because it didn't correlate to a
8 given summary report. Is that correct?

9 MR. MORRIS: You said it well,
10 Ron.

11 DR. BUCHANAN: Okay. And so,
12 there was more raw data than you could use
13 because you didn't have the summary report
14 that showed the calculations and that you
15 could do an error analysis on.

16 MR. MORRIS: That's correct.

17 DR. BUCHANAN: Okay.

18 MR. MORRIS: We didn't want to use
19 data that we couldn't correlate to a daily
20 weighted exposure report. We knew that there
21 were air sample results out there that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not directly mapped -- that we chose not to
2 use because we couldn't map them to a daily
3 weighted exposure report.

4 DR. BUCHANAN: Okay. That is a
5 clarification that we needed.

6 And then, also, I just want to
7 clarify another issue is that the thorium data
8 did not have any of the raw data with it,
9 right? The thorium data was only summary
10 reports and you couldn't cross-compare it to
11 the raw data?

12 MR. MORRIS: Yes, we tried hard,
13 and we could not unequivocally make those
14 correlations of this air sample correlated
15 with this report. So, we chose not to use
16 them instead of trying to be misleading. We
17 wanted our conclusions to be directly
18 supportable.

19 DR. BUCHANAN: Okay. Well, John,
20 I think that that answers our question on why
21 were there more data. When I looked at it, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seen there was more data, but it wasn't used,²⁵

2 It is that there wasn't a calculational sheet
3 to go along with the raw data. And so, I
4 think that clarifies it for me. Do you have
5 any other questions on that?

6 MR. STIVER: No, that clarifies it
7 for me, too.

8 MR. MORRIS: So, back to my
9 comments further, then, I wanted to point out
10 that, in the interim since we did the Weldon
11 Spring evaluation of the errors and blunders -
12 - and this has always been a touchy subject
13 about whether we caught blunders -- NIOSH did
14 not invent this term about blunders. It comes
15 from an ISO standard about how to express
16 uncertainty. And blunder is a technical term
17 in that. So, if I use that word, it is not in
18 a derogatory manner in any sense. It is just
19 it happens to be the technical language of
20 that standard that we worked against.

21 So, when we actually got the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 commission from another Work Group to do this₂₆
2 same evaluation at Fernald, the Fernald
3 records were organized differently. We were
4 able to identify about 17,000 records or data
5 points or transcription opportunities or
6 calculational opportunities that were
7 available in the sample that we picked at
8 Fernald.

9 We spent two or three weeks.
10 Because we knew how bad the representation of
11 the data was at Weldon Spring, we thought,
12 well, let's go look at a sister plant with an
13 identical process, an identical procedure, and
14 again, transcribing and transcriptions and
15 calculations without calculators.

16 And so, we overdid the sampling at
17 Fernald. What we found was that the error
18 rate at Fernald was significantly less, closer
19 to the 1 percent, as you might have expected.

20 The impacts were about half as much as they
21 were at Weldon Spring. Again, Fernald was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 below both the Adam Davis/Dan Strom evaluation²⁷
2 for AWE sites and for the Weldon Spring site.

3 So, we have got one more important
4 data point on exactly how humans interact with
5 this procedure that was invented at the Health
6 and Safety Lab of the DOE in the 1940s, and
7 find again that the error rates are pretty
8 much where you would have expected them, based
9 on our observation from this Davis and Strom
10 paper.

11 So, when we look at this all
12 together, I think that you can say that this
13 is a snapshot of data that confirms the idea
14 that the human errors that went into the
15 calculations are limited, essentially, because
16 you are trying to report a number between a
17 minimum and a maximum as an average value, and
18 if you have a number that is below or above
19 that minimum/maximum, any proofreading is
20 going to discover most of those errors. If
21 your average is higher than your maximum, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sort of go, that was a big mistake and you
2 redo it.

3 So, inherently, the magnitude of
4 the errors is somewhat constrained, and the
5 impact of the errors tends to take the median
6 value where SC&A has recommended we do
7 calculations for intake rates for most people,
8 and it is in the 2 or 3 percent range. It
9 increases about 2 or 3 percent.

10 So, we feel that we have answered
11 a question that was specifically asked and
12 have shown that the impact of the human
13 blunders on the uncertainty of intake rates
14 calculated at Weldon Spring for daily weighted
15 exposure methods is not large and can be
16 bounded.

17 COURT REPORTER: Speaker, please
18 identify yourself.

19 MEMBER LEMEN: This is Dr. Lemen.

20 I ask NIOSH, in light of the
21 discussion we have just had, what they feel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the next steps should be with the Weldon
2 Spring dataset. Do you have a recommendation
3 of where we should go with Weldon Spring at
4 this time?

5 MR. ROLFES: Well, this is Mark
6 Rolfes.

7 As of right now, what we have
8 proposed in our Evaluation Report, that we can
9 bound thorium intakes based upon the daily
10 weighted exposure values that we have just
11 discussed. We now have presented information
12 showing that the intakes calculated from the
13 daily weighted exposure data are reliable and
14 have a small error associated with them of 4
15 percent, which is pretty insignificant.

16 We have previously been assigning
17 thorium intakes for the Weldon Spring plant
18 based upon information from the Fernald
19 facility. We have presented this new daily
20 weighted intake approach to demonstrate that
21 the thorium intakes can be bound.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, ultimately, I believe it is in ³⁰
2 the Advisory Board's hands to decide whether
3 or not our proposed method of doing thorium
4 dose reconstructions at the Weldon Spring
5 plant is agreeable to them, is agreeable to
6 the Advisory Board.

7 MEMBER LEMEN: Dave Richardson, do
8 you have any further comment at this time?

9 MEMBER RICHARDSON: No, I don't.

10 MEMBER LEMEN: With that in mind,
11 I am not sure if it is appropriate -- do we
12 need another recommendation in writing from
13 NIOSH to the Board, or where do we go from
14 here, Ted? I'll need your help on this one.

15 MR. KATZ: Sure. Hi. This is Ted
16 Katz.

17 I think this conversation has been
18 excellent for clarifying matters that weren't
19 clear. So, I think the transcript is probably
20 adequate without a new report, although,
21 ultimately, depending on how this is handled,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 if there is a TBD revision, for example, down³¹
2 the road, that things would be stated more
3 clearly there, and what have you.

4 So, I am not sure that there is
5 more for NIOSH or SC&A to do on this front.
6 It is really now a matter for the Work Group
7 to consider, just as Mark said, what your
8 judgment is on this, and then to report this
9 out the Board, which can consider the same
10 matter.

11 MEMBER LEMEN: Do you think that
12 we would be able to, unless Dave has an
13 objection to this -- and I haven't talked to
14 Mike, who is the Chairperson; ultimately, he
15 has the final say -- that we, as the Board,
16 could, as the Working Group, I mean, could
17 present to the Board in the February meeting
18 what we have gone over today and then get the
19 Board's comments, and go ahead and close this
20 matter out and get Weldon Spring moved
21 forward?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. KATZ: Yes, Dick, this is Ted³²
2 again.

3 So, I mean, this is one of the two
4 matters the Board wanted the Work Group to
5 finish up on. Yes, I agree, I think you can
6 report this out, either Mike or yourself,
7 depending on who is in attendance.

8 You know, reporting it out, I
9 guess you have to make a judgment as to
10 whether you are satisfied with this method or
11 not. Your recommendation is to the Board.
12 You know, the Board will make its judgment,
13 but it is useful to have a recommendation from
14 the Work Group as to its view on each of these
15 matters.

16 MEMBER LEMEN: Okay. I don't know
17 how to get a hold of Mike. But if you can do
18 that, Ted, maybe --

19 MR. KATZ: No, Dick -- I'm sorry,
20 this is Ted again. Mike, if he is not in
21 attendance, he is not part of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 deliberation. I mean, so it is really -- 33

2 MEMBER LEMEN: Up to me and Dave?

3 MR. KATZ: It is really you and
4 Dave that are here to make a judgment for
5 that. Again, it is not really a critical
6 matter. You are reporting out to the Board,
7 but the Board will take up the matter itself
8 as a whole and make a judgment.

9 MEMBER LEMEN: All right. So,
10 with that said, I suppose we should go to the
11 next item, and we can, at the end of this,
12 where we have a discussion of report to the
13 Board, we can decide where we go from there.

14 But the next item happens to be
15 the response to Brad Clawson, Member of the
16 Board, from NIOSH. Could you talk about that,
17 NIOSH?

18 MR. ROLFES: Sure. Thanks, Dr.
19 Lemen. This is Mark Rolfes again.

20 At the December Board meeting in
21 Tampa, Brad Clawson had identified a document

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that was written up, I believe, as part of an
2 epidemiology study conducted by Oak Ridge
3 Associated Universities around 1991.

4 This document, the subject was
5 Mallinckrodt Chemical Works: Four-Plant Study
6 Classifications of Radium, Radon and Thorium
7 Exposure. This document had basically
8 described historical use of various
9 radionuclides at Mallinckrodt as well as
10 Weldon Spring.

11 I will go over my response.
12 Basically, Brad had identified this document,
13 Brad Clawson, and also Jim Lockey had
14 mentioned it to me in the hall at the Board
15 meeting, that this document had identified
16 that there was thorium production operations
17 going on at Mallinckrodt and then,
18 subsequently, for the time period of 1958
19 through 1966, at the Weldon Spring plant.

20 When this was identified in this
21 document, it was identified as thorium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 operations. And so, that is what I believe³⁵
2 had caught Brad Clawson's eye, was that there
3 was thorium processing going on at Weldon
4 Spring plant for this entire time period.

5 The thorium processing that was
6 done was the extraction of thorium-230 from
7 the ore concentrates, the uranium ore
8 concentrates, and not necessarily production
9 of thorium-232, which only occurred from 1963
10 through 1966 at the Weldon Spring plant.

11 So, this document had identified
12 plain thorium. It didn't specify necessarily
13 in the text whether or not it was thorium-230
14 or thorium-232. You have to read the context
15 of the report to determine whether they are
16 referring to the extraction of thorium-230
17 from uranium ore concentrates or whether they
18 are referring to the production of thorium-232
19 metal, for example.

20 That was the clarification that I
21 had. Basically, all the information that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have gathered and all of the research that we
2 have conducted indicates that thorium-232
3 operations were conducted in short campaigns
4 in the time period of 1963 through 1966. We
5 also have information on the specific
6 operation and the duration of those campaigns,
7 by building, within our Evaluation Report.

8 I don't recall what table it is
9 right off the top of my head, but if you give
10 me just a second, I can pull that up and
11 identify it for the record. Let's see. I am
12 flipping through my report at this time.

13 Let's see, in Table 5-2, we have a
14 chronological summary of thorium operations at
15 the Weldon Spring plant and we have given an
16 operation title, the building number and the
17 time period broken down by month and year that
18 operations were conducted in each of the
19 buildings. This is on page 19 of our
20 Evaluation Report for SEC 143.

21 MS. JOHNSON: Mark, this is Karen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Johnson, petitioner. 37

2 MR. ROLFES: Yes, Karen?

3 MS. JOHNSON: Can you tell me what
4 source was used for that table?

5 MR. ROLFES: Let me see. Under
6 the table, in our Evaluation Report, we have
7 the source. The note says, created with
8 information from Weldon Spring feed materials,
9 summaries of dust concentrations at production
10 jobs. And it is MCW, 1958 through 1966.

11 If I go back to the end page
12 -- excuse me -- back to the reference section,
13 let me see if I can get you any additional
14 information that might help you.

15 Okay. It is MCW, 1958 through
16 1966, summaries of dust concentrations at
17 production jobs, Weldon Spring feed materials
18 plant, Mallinckrodt Chemical Works, Uranium
19 Division, 1958 through 1966. This came out of
20 our Site Research Database.

21 MS. JOHNSON: Okay, so you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any original time period document? 38

2 MR. ROLFES: Yes, we do.

3 DR. BUCHANAN: This is Ron
4 Buchanan of SC&A.

5 Karen, also, I went back and
6 checked this out, double-checked it. The
7 reference ID, I don't know if you have access
8 to the reference ID, but the reference ID 0400
9 and 8252, verifies the receipt of thorium at
10 Weldon Spring.

11 MS. JOHNSON: Okay. Thanks.

12 MEMBER LEMEN: Any other
13 questions, Karen?

14 MS. JOHNSON: I actually do have a
15 question back on raw data for thorium, on the
16 first item. I guess I am confused about how
17 that is being calculated, because I thought I
18 heard somebody say there is no raw data for
19 thorium.

20 MR. ROLFES: This is Mark Rolfes,
21 Karen.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The method, we were basically³⁹
2 addressing the error rates in the methodology
3 that was used to determine an individual's
4 airborne exposure, irregardless of the type of
5 radionuclide. This method was, this daily
6 weighted exposure method was used for uranium
7 as well as thorium. We had a positive
8 association between a Daily Weighted Exposure
9 report and raw data that we can conclusively
10 say that this raw data was used to develop
11 this DWE report.

12 We focused on those relationships
13 where we knew that this raw dataset was
14 associated with this particular report. It
15 may be that we have raw data for thorium
16 operations. However, we weren't able to
17 conclusively say that these data were tied to
18 this evaluation, Daily Weighted Exposure
19 Evaluation Report.

20 Does that answer your question?

21 MS. JOHNSON: Yes. I guess I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really just am not trusting all of this data⁴⁰

2 To me, that's a lack of data. So, I guess I
3 am just not agreeing with the process.

4 MR. ROLFES: Now, keep in mind, we
5 do have the summary data for thorium
6 exposures. We just didn't have the raw data
7 available, and we are using the summary data
8 available to us to assign thorium intakes to
9 Weldon Spring plant workers.

10 MEMBER LEMEN: When you say
11 "summary intake" -- this is Dick Lemen again
12 -- when you say "summary intake," could you be
13 a little bit more specific on that?

14 MR. ROLFES: Well, we had the
15 range of concentrations, the air
16 concentrations, to which a worker might have
17 been exposed. However, we don't have the
18 calculations that support those air
19 concentrations in all instances, that we can
20 conclusively say this dataset was used to
21 develop this report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MEMBER LEMEN: So, you cannot say
2 for any individual exactly what their
3 exposures were?

4 MR. ROLFES: Well, one couldn't
5 conclusively say what any individual's
6 exposure was. What we are doing is assigning
7 an intake that is bounding, and we believe
8 will overestimate the actual intake incurred
9 by workers at the Weldon Spring plant.

10 MEMBER LEMEN: But this is taken
11 from summary data and not from actual
12 measurement data on the worker, is that
13 correct?

14 MR. MORRIS: Mark, this is Bob.
15 Can I interject?

16 MR. ROLFES: Yes, Bob. Yes,
17 please.

18 MR. MORRIS: This is Robert Morris
19 with ORAU team.

20 Dr. Lemen, to address your
21 question, when we say "daily weighted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exposure," what we are trying to convey here⁴²
2 is that there is an average air sample
3 concentration that represents the workplace.
4 And so, for example, we will take an air
5 sample -- I don't say "we". I take this as my
6 data analysis, I have used it so often, but it
7 is not my data.

8 An air sample would be taken to
9 represent the concentration in a room, for
10 example, on three or four different days.
11 Those would be averaged. That average, then,
12 goes into the calculation of how often a
13 person was in that room.

14 So, what we have got is the final
15 report that says this person was in this room
16 for 10 minutes and the average concentration
17 was 100. And so, you weight that into how
18 many minutes are in the day, and that is how
19 you do a weighted exposure.

20 Now what we are missing, in terms
21 of the raw data being directly associated, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe there were four air samples, as I said,⁴³
2 taken to represent the concentration in that
3 room. We can't tell you which four air
4 samples in the large list of air samples that
5 were out there were the four that went into
6 getting the average. But we do have the
7 average.

8 MEMBER LEMEN: Okay.

9 MR. MORRIS: So, when we say can't
10 go all the way back to the raw data, that is
11 true. But there is really no reason to think
12 that people can't take average numbers and, in
13 general, report them correctly.

14 We have actually quantitated how
15 important those -- when people do make
16 mistakes, how important those mistakes are,
17 and they seem to have a 2 to 4 percent impact
18 increasing the outcome -- increasing the
19 intake rate calculation.

20 MEMBER LEMEN: Bear with me a
21 second.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 These are general room samples?

2 Is that what you are telling me?

3 MR. MORRIS: I am using general
4 room samples as an example. We also would
5 take samples representing a process. Maybe it
6 was opening a container. Maybe it was loading
7 a furnace. And each of those would have been
8 sampled a few times to get the average air
9 concentration that occurred when that event
10 occurred.

11 MR. ROLFES: Bob, this is Mark.

12 To clarify what you said, and
13 maybe help explain, Dr. Lemen, they might
14 focus on one chemical operator doing a drum-
15 dumping operation for an hour at a given air
16 concentration. And then, in combination with
17 that one-hour exposure at that air
18 concentration, they would also say, well, he
19 was also in the area while someone else was
20 doing the work for 30 minutes, and they would
21 apply a general area air concentration for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those 30 minutes. Then, they might have⁴⁵
2 tracked him to another job possibly or to
3 lunch and had either a breathing-zone-specific
4 air sample result or the background air
5 concentration result for a given amount of
6 time in the cafeteria. So, those are all
7 combined to add up to an eight-hour day or an
8 eight-and-a-half-hour day. I don't recall
9 which one was used.

10 MEMBER LEMEN: So, what you are
11 saying is that, while he is working, there is
12 sampling being done. And then, they may
13 follow that worker while he is on a break or
14 in the lunch room and continue that sampling.
15 And then, the average is taken from both of
16 those areas. Is that correct?

17 MR. ROLFES: The worker --

18 MR. MORRIS: That is correct. In
19 fact, this method has been used ever since it
20 was first invented at the Health and Safety
21 Laboratory in the 1940s. It has been used up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 until today in industrial hygiene practice⁴⁶
2 And it is a very common approach to trying to
3 define the exposure potential of a worker,
4 whether he is working around, he or she is
5 working around gasoline vapor or dust --
6 silica dust. This daily weighted averaging
7 concept is widely used.

8 MEMBER LEMEN: I know that, but I
9 was concerned about the sampling being taken
10 in the work area and then averaged with
11 sampling taken where, supposedly, there is no
12 exposure in the cafeteria area.

13 MR. MORRIS: The 30 minutes in the
14 cafeteria is part of the daily exposure. And
15 so, that is included in the time-weighted
16 average exposure for the worker.

17 MEMBER LEMEN: Okay.

18 MR. MORRIS: We have described
19 that in our original paper on how daily
20 weighted exposure measurements are done.

21 MEMBER LEMEN: I understand.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. MORRIS: That sort of goes
2 back, what, two years, is that right, John
3 Stiver?

4 MR. STIVER: Yes, that is about
5 right.

6 I think the important thing is
7 that, for any given worker, there are going to
8 be a series of breathing zone samples. There
9 would be a lapel-type sampler to try to get a
10 more representative sample of a particular
11 operation that might have involved a higher
12 exposure potential.

13 But, say, scrubbing out a
14 reduction pot liner, or something, one of the
15 dirtiest jobs. Very high concentration, but
16 relatively short duration, maybe about 30 to
17 45 minutes, if I recall correctly.

18 And so, what they do is they
19 follow this worker. They outline the
20 different tasks involved in his particular
21 job. So, for each one of those tasks, there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is a certain time allotment associated with⁴⁸
2 it, and, also, concentrations. For those
3 operations that are particular to that job,
4 they would use the breathing zone samplers.
5 And then, for, say, going to the cafeteria or
6 changing out in the locker room, and so forth,
7 they would use a general air sample to
8 represent the time spent in that particular
9 environment.

10 And then, at the end of the day,
11 basically, what we would have is about eight-
12 and-a-half hours' worth of time, total time,
13 allocated among these different tasks. And
14 so, we would multiply the concentration, the
15 average concentration for a task, times the
16 time it took to take that task, sum all those
17 values up, and then divide by the total time.

18 That gives you the weighted average.

19 MEMBER LEMEN: Dave Richardson, do
20 you have any comment on this approach?

21 MEMBER RICHARDSON: No, not on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this approach. 49

2 MEMBER LEMEN: Are you satisfied
3 with it?

4 MEMBER RICHARDSON: Yes.

5 MEMBER LEMEN: Okay. Any other
6 comments on this particular area?

7 MS. JOHNSON: This is Karen
8 Johnson again.

9 I guess I am still confused
10 because I have been told over those years that
11 there were very few air samples taken at
12 Weldon Spring. Can you comment on how many
13 samples there actually were?

14 MR. ROLFES: This is Mark Rolfes.

15 Bob Morris has spent quite a large
16 amount of time going through the air sampling
17 results. I would defer to him at this time.

18 I can get a response back to you
19 on a better idea of the number of air samples.

20 There is definitely a large quantity of air
21 sampling data, and I think we had identified

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in these daily weighted exposure reports⁵⁰
2 roughly 1400 different operations which were
3 air-sampled. Now this is just what was
4 available to us at the time we did the
5 analysis. I believe there probably are
6 additional air samples.

7 But, Bob, maybe if you might have
8 a better feel for the quantity of air samples
9 available from the Weldon Spring site?

10 MR. MORRIS: Sure. I don't know
11 the number. We certainly can make an effort
12 to try to find that, about what is available
13 in our record-set.

14 But I think it is worth noting
15 that there was a person whose job it was,
16 maybe two people whose job it was, to go out
17 and sample air routinely around the plant.
18 There are air samples representing most days
19 at some place most days.

20 So, I don't think it is accurate
21 to say there were very few air samples taken.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Somebody's job was to go take air samples. 51

2 MS. JOHNSON: Okay, and one of my
3 concerns is, in speaking with hundreds of
4 workers over the last 10 years, none of them
5 remember having their area monitored for air
6 sampling or their breath sampled.

7 MEMBER RICHARDSON: And this is
8 David Richardson.

9 I think what was said, both things
10 that have been said probably are true. I
11 mean, it is getting to a distinction between
12 what is meant on average to be able to
13 characterize a place and a time and what would
14 be necessary in terms of data collection to
15 characterize a person's potential exposure and
16 its variation over time, over decades.

17 So, while there are many samples
18 that were collected, you are being asked to
19 extrapolate from those samples to characterize
20 exposure concentrations that were dynamic and
21 were not identical for everybody in every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 place. So, if there was one or there were two⁵²
2 people who were moving through collecting
3 samples, that is not the same as an individual
4 having experience in a plant and having a
5 recollection that they were wearing an air
6 sampler the way that somebody might wear a
7 radiation dosimetry badge, and have to wear it
8 every day onsite while they are being exposed.
9 It wasn't that kind of monitoring program.

10 MR. MORRIS: That's right, and you
11 have put your finger on a real key. That is
12 one of the items that we have had long
13 conversations about over the past two years.
14 That is why we have as an uncertainty
15 estimator value. When we take the value that
16 is reported in the daily weighted exposure
17 dataset for a given building for a given year,
18 we multiply that. It has got an uncertainty
19 factor of plus or minus a factor of five
20 associated with it, unlike most of the kinds
21 of internal dose assessments we do, which has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a multiplier, times or divide factor of three³,

2 So, this has got a really large
3 uncertainty factor associated with it to
4 accommodate just exactly what you raised as a
5 concern. That is called the geometric
6 standard deviation as opposed to the standard
7 deviation, which is a plus-or-minus kind of
8 uncertainty. This is a times-or-divide kind
9 of uncertainty. So, it really spans a big
10 range of data when we put that in, and it
11 becomes very favorable to the claimant by this
12 approach.

13 MR. ROLFES: Karen, this is Mark
14 Rolfes once again, regarding the number of air
15 dust samples that were taken.

16 I am looking back through our
17 Evaluation Report, at the end on page 80 of
18 90. We have listed a summary of the holdings
19 that we have in our Site Research Database for
20 the Weldon Spring plant. It doesn't tally up
21 the quantity of air sample results, but it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 does give you a feel for how many documents ~~we~~⁵⁴
2 might have that contain radiation monitoring
3 information, air dust sampling results.

4 Just looking back on page 80,
5 about in the middle of the page, we have a
6 statement that we have uploaded, roughly, 230
7 documents back in 2009 with information that
8 had urine and air dust samples, radiological
9 surveys, air pathway analyses. Let's see, the
10 next on there, there is some additional
11 sampling information.

12 If you would like maybe a better
13 estimate of the number of air samples that
14 were collected, we can see if we can possibly
15 get a better response for you.

16 MEMBER LEMEN: Is it possible to
17 get that to the claimant and to the Board,
18 just so we will have it on record?

19 MR. ROLFES: We sure can. We will
20 work to get that as best we can as soon as
21 possible. This will just be a ballpark

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 estimate that we will try to get to you as
2 soon as we can.

3 MEMBER LEMEN: Are there other
4 questions from the petitioners at this time?

5 MS. JOHNSON: I don't have any
6 more. Thank you.

7 MEMBER LEMEN: Any other
8 petitioners with questions?

9 (No response.)

10 Hearing none, I guess the last
11 item --

12 MEMBER RICHARDSON: Yes, before we
13 move to the last item, during this discussion
14 we sort of moved back to the agenda item 1.
15 I would like to close out with the second
16 item, about processing dates or operational
17 dates.

18 MEMBER LEMEN: Okay. Who is
19 speaking?

20 MEMBER RICHARDSON: David
21 Richardson.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LEMEN: Oh, hi, David. 56

2 MEMBER RICHARDSON: Hi.

3 MEMBER LEMEN: Go ahead.

4 MEMBER RICHARDSON: Am I correct
5 in understanding the discussion today that a
6 substantial basis for the dates, for
7 establishing the dates on which thorium
8 processing was done is the air monitoring
9 data? That seemed to be the description of
10 kind of the cited information for the dates
11 that were quoted from the table.

12 MR. ROLFES: Yes. This is Mark
13 Rolfes.

14 And the reference that we had
15 identified was the dust study document, I
16 believe. I don't recall if we had conducted
17 any kind of interviews. Maybe Bob might be
18 able to remind me.

19 Do you recall if we asked anyone
20 from the Weldon Spring plant?

21 MR. MORRIS: Yes, we did interview

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people and asked those questions. But⁵⁷
2 frankly, it has been years now, and I don't
3 remember the specific answers. But we did
4 look into more than one source of data to try
5 to find the operational dates.

6 MR. ROLFES: I think that is
7 correct, Bob. From what I recall, I think
8 maybe Karen and the petitioners may have
9 identified this issue to us during our
10 Evaluation Report.

11 Let me see if we have addressed
12 this or discussed anything additional here in
13 our Evaluation Report. I am not seeing it
14 jump out at me at this second.

15 But I know we did hear this
16 concern from the petitioners, and this was
17 something that we did conduct a series of
18 interviews about. Yes, there were concerns
19 about, let's see -- is that correct, Karen?
20 Am I stating something that is correct? I
21 thought you did express earlier concern about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the thorium exposure potential in earlier⁵⁸
2 years of the site. We have discussed this
3 before, I believe. Is that accurate?

4 (No response.)

5 Karen?

6 MEMBER LEMEN: Karen, are you
7 still there?

8 MS. JOHNSON: This is Karen.

9 I do recall, that has been a big
10 concern of ours because, as we have gone
11 through FOIA documents, we have compiled a
12 small stack of documents that do state that
13 thorium was processed on a larger-scale basis.
14 It appears they are talking of thorium-232. I
15 don't know for sure.

16 Tina may know more about some of
17 that than I do. I don't know if she is still
18 on the line.

19 But that is a big concern of ours
20 because we are not sure where the `63 to `66
21 is coming from.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LEMEN: In what respect do
2 you mean that? Can you explain a little bit
3 better?

4 MS. JOHNSON: Well, we have just
5 seen in the Site Profile, in an Evaluation
6 Report, it is stated that thorium processing
7 was done between 1963 and 1966 and that it
8 wasn't there earlier than that, maybe for a
9 very brief period at one point. But we are
10 not sure what references are being used to
11 determine that.

12 MEMBER LEMEN: Well, do you have
13 evidence that it was there before 1963?

14 MR. ROLFES: I think the reference
15 that Karen is mentioning was the same one that
16 Brad Clawson had identified during the
17 Advisory Board meeting in December. And that
18 was the reason for the preparation of our
19 response on basically separating out
20 thorium-230 extractions from uranium ore
21 concentrates versus thorium-232 production.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. BUCHANAN: This is Ron
2 Buchanan with SC&A.

3 If you look at reference ID 8252,
4 pages 26 through 29, and especially table 6 on
5 page 28, it gives the receipt of thorium at
6 Weldon Spring. It shows their inventory and
7 their incoming and outgoing inventory receipts
8 each year from '58 through '66, through '67,
9 actually. And that inventory sheet shows that
10 it was that they didn't really ramp up until
11 '64, actually.

12 They had 44 kilograms in a barrel
13 that apparently remained unopened. It was on
14 every year, the same amount. And then, in
15 '63, they received 5 kilograms, and then, in
16 '64, they received 13,000 kilograms; in '65,
17 313,000 kilograms; in '66, 614,000 kilograms,
18 and none in '67.

19 So, that was a base document that
20 I found on the Research Database. It is 8252.

21 MS. JOHNSON: Ron, this is Karen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 again.

61

2 I guess that is something that we
3 are at a disadvantage with. We haven't seen
4 any receipts for shipping or inventory. Is
5 there any way we can get copies of those?

6 MR. ROLFES: Karen, this is Mark.

7 You certainly can request this
8 information under the Freedom of Information
9 Act. And I believe you are familiar with that
10 process.

11 MS. JOHNSON: Yes.

12 MR. ROLFES: If you have any
13 questions --

14 MS. JOHNSON: Okay. We thought we
15 had already requested absolutely everything.

16 MR. ROLFES: I think you requested
17 everything related to thorium operations. I
18 actually had gone through some of these
19 documents to identify which ones had
20 information related to thorium.

21 MS. JOHNSON: I think we did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 receive a small number of receipts, but ^{it}₆₂
2 appeared that was all there was for Weldon.

3 MEMBER RICHARDSON: Just as a
4 comment, and I haven't been on the Board that
5 long, but if that type of inventory
6 information was available and could be
7 included as supporting basis in any of the
8 documents related to this, that would seem to
9 me more standard, in my limited experience,
10 with describing the dates than relying on air
11 monitoring, kind of the period during which
12 monitoring was done.

13 Because, personally, I start to
14 have a level of discomfort with saying,
15 because there was monitoring done or not done
16 in a period, there was potential for exposure.

17 It is almost putting the cart before the
18 horse.

19 MR. ROLFES: Sure, sure.

20 MEMBER LEMEN: So, you will
21 provide that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ROLFES: I am sorry. This is ⁶³
2 Mark. Dr. Lemen, in order for us to provide
3 this reference, if we have not provided it
4 yet, we would have to receive a FOIA request
5 from the petitioners.

6 MEMBER LEMEN: Well, I thought
7 Dave just asked you to provide it, too.

8 MR. ROLFES: Oh, we certainly can
9 provide it to the Advisory Board. However, we
10 can't provide it directly to the petitioners
11 without a FOIA request for it.

12 MEMBER LEMEN: I understand that,
13 but, as I understood what Dave just said, I
14 think he was requesting you provide it to the
15 Board.

16 MR. ROLFES: Oh, sure.

17 MEMBER LEMEN: Is that correct,
18 Dave?

19 MR. ROLFES: Definitely. We can
20 identify that document and provide it.

21 MEMBER LEMEN: That is what you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were asking, right, Dave? 64

2 MEMBER RICHARDSON: Yes, I was
3 also sort of thinking -- I don't know where
4 you are with closing this issue out, but in
5 terms of technical documents, whether it is
6 the Site Profile document or something else,
7 that it would become part of the cited
8 literature and the basis for setting these
9 dates.

10 MEMBER LEMEN: Okay. If we could
11 ask you to provide that to the Working Group,
12 I would appreciate it.

13 MR. ROLFES: I sure will.

14 MS. TRIPLETT: This is Tina
15 Triplett.

16 And there are several documents
17 that show that thorium was processed since
18 1958, actually, even from the Atomic Energy
19 Commission. So, I am not sure. I mean, if it
20 is there, it should be given the benefit of
21 the doubt to the petitioners that it was there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the whole entire time. 65

2 MEMBER LEMEN: Can you respond to
3 that, Mark?

4 MR. ROLFES: Yes. Thanks, Dr.
5 Lemen.

6 Tina, this is Mark.

7 If you could provide these
8 documents to us -- I haven't seen anything
9 different from what we have available to us
10 from ORAU's epi study that I had referred to
11 earlier. If you might be able to send in
12 these documents to us, we would definitely
13 take a look at them.

14 MS. TRIPLETT: Okay. I am pretty
15 sure that this information you already have
16 because this is some of the stuff that came in
17 the FOIA request. But there's tons of
18 documents out there that state it was there
19 the whole time. So, I can send you what I
20 have, but you should already have it.

21 MR. ROLFES: That would be great,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if you could identify those documents. 66

2 MEMBER LEMEN: Would it be
3 appropriate -- I don't know, like Dave, I am
4 new to the Board; I have only been on a short
5 time -- but is it appropriate for us to ask
6 NIOSH to get together and discuss these
7 documents, since it appears they have already
8 been provided in the FOIA, with the
9 petitioners, so that we cut down on some time?
10 In other words, the two of you just directly
11 talk to one another.

12 MR. ROLFES: I am definitely
13 available to have any sort of discussion
14 needed. If there is information regarding
15 thorium processing earlier on, it would be new
16 to us. We have no indication that there was
17 any kind of thorium-232 operations being
18 conducted at the Weldon Spring plant prior to
19 1963, and that is consistent with every source
20 of information that we have available to us.

21 MR. HINNEFELD: This is Stu

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Hinnefeld of DCAS. 67

2 I joined a little late. So, I
3 didn't introduce myself at the beginning.

4 But we should be able to do that.

5 We do try to maintain open lines of
6 communications with petitioners on all our
7 petitions. I don't see this as being outside
8 of those lines of communications. So, unless
9 my lawyers advise me differently, I think we
10 can pursue that.

11 But, to Mark's point, though,
12 typically, a FOIA request in many cases
13 results in very, very many documents being
14 delivered. And so, if petitioners could
15 identify to us the specific ones that they
16 feel show the presence of thorium prior to
17 `63, that would help us and facilitate that
18 discussion quite a lot.

19 MEMBER LEMEN: Could I ask -- and
20 again, I don't know if this is appropriate
21 because I haven't been on the Board that long.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Ted will tell me if it is not, I'm sure, ~~or~~
2 one of the lawyers.

3 But could I ask NIOSH to initiate
4 that discussion with the petitioners?

5 MR. ROLFES: This is Mark Rolfes.

6 We would have to have the document
7 available first before we could discuss it, I
8 guess.

9 MEMBER LEMEN: No, but, I mean,
10 couldn't you pick up the phone and talk to the
11 petitioner?

12 MR. ROLFES: Oh, sure, definitely,
13 we can.

14 MEMBER LEMEN: And try to identify
15 the documents. And then, you could pull the
16 documents and look at them, and then you could
17 get back together and discuss what the
18 petitioners are talking about?

19 MR. ROLFES: Oh, definitely. I
20 would be happy --

21 MEMBER LEMEN: So that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 eliminate all the red tape of going through an
2 FOI again and all that kind of stuff.

3 MR. ROLFES: Yes, definitely.

4 What amount of time would be
5 reasonable for you to take a look, Tina, for
6 me to contact you?

7 MS. TRIPLETT: I mean, I have it
8 in front of me. So, I don't know how to get
9 it to you.

10 MR. ROLFES: Well, I would be
11 happy to give you a call after this conference
12 call is over.

13 MS. TRIPLETT: Okay.

14 MEMBER RICHARDSON: This is David
15 Richardson.

16 So, one of the issues that you
17 have brought up, because we have discussed
18 this issue earlier in the call, is the
19 distinction you are making between thorium on
20 site and thorium-232, is that right?

21 MR. ROLFES: The issue that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 identified in this ORAU team document, I can
2 read over my response. I am not sure if you
3 have seen this, Dr. Richardson. But this
4 might not be so clarifying, but I will go
5 ahead and read my email to Brad Clawson here.

6 It says, "At the Advisory Board
7 meeting in Tampa, you had mentioned finding
8 documentation of thorium processing operations
9 at the Weldon Spring plant from 1958 through
10 1966. The two documents which were embedded
11 in the attached PDF" -- in my email -- "which
12 have information regarding thorium operations
13 during this time period were produced by ORAU
14 in 1991 and 1998.

15 "These documents included
16 information on all thorium operations
17 conducted at both Mallinckrodt as well as the
18 Weldon Spring plant, and don't clearly
19 delineate differences between thorium-230 and
20 thorium-232."

21 I identified that he was correct

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that thorium operations occurred at the Weldon
2 Spring plant from 1958 through 1966, and that
3 NIOSH was also aware of this.

4 "The operations during this time
5 period in its entirety, 1958 through 1966, in
6 the context of the two ORAU references, were
7 related to thorium-230 operations, operations
8 involving the dissolution and chemical
9 separation of uranium from uranium ore
10 concentrates which contained primarily
11 thorium-230 and other uranium decay chain
12 progeny.

13 "NIOSH has information regarding
14 the potential exposures to thorium-230 and
15 other ore concentrate radionuclides in the
16 Site Profile for Weldon Spring and, also, in
17 Special Exposure Cohort Petition Evaluation
18 Report and other White Papers specific to the
19 Weldon Spring plant.

20 "On the other hand, thorium-232
21 production operations were conducted at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Weldon Spring plant only during the 1963²
2 through-1966 time period on an intermittent,
3 campaign-driven schedule. Potential exposures
4 to thorium-232 at Weldon Spring plant are
5 discussed in the Weldon Spring plant Site
6 Profile, the SEC Petition Evaluation Report,
7 and in White Papers for the Weldon Spring
8 plant as well."

9 So, that was my summary attempt to
10 try to delineate the differences between
11 thorium operations of, you know, operations
12 involving the extraction of thorium-230 from
13 ore concentrates and the production of
14 thorium-232 on a campaign-driven basis in the
15 later years.

16 MEMBER LEMEN: This is Dick Lemen
17 again.

18 Dave, do you have more comments or
19 questions?

20 MEMBER RICHARDSON: No.

21 MEMBER LEMEN: It looks to me --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and if I am misstating this, please correct⁷³
2 me; I am sure you will, anyhow -- but it seems
3 like, with this last discussion, we have just
4 had another rock put in the middle of the road
5 as far as coming to some conclusion on Weldon
6 Spring. Is that the reading the rest of you
7 are getting?

8 (No response.)

9 Hearing no comment, I guess that
10 must be true.

11 I suppose what we need to do is
12 get a report back from NIOSH after they have
13 talked to the petitioners about this time
14 period before 1963 that is in question, before
15 we can make a further recommendation to the
16 Board. Is that something you agree with,
17 Dave?

18 MEMBER RICHARDSON: I mean, I
19 think that sounds useful, just to be diligent,
20 making sure that these concerns are addressed.

21 MEMBER LEMEN: So, with that in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mind, I think, Ted, that might be our report⁷⁴
2 to the Board. We can talk to the Board about
3 having resolved at least the questions in
4 point one and two on the agenda. But I think
5 we have to wait to present any final
6 recommendation to the Board from the Working
7 Group until we have this last rock removed
8 from the road.

9 Is that in agreement with you,
10 Dave?

11 MEMBER RICHARDSON: Sure.

12 MEMBER LEMEN: Ted?

13 MR. KATZ: I am sorry. This is
14 Ted.

15 I managed to disconnect myself in
16 the last minute. And so, I gather, the last I
17 heard you were saying there is a rock in the
18 road, and then I just heard you pick up with
19 there is a rock in the road and you can't make
20 a recommendation until we remove it.

21 MEMBER LEMEN: Basically, that is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correct. And the holdup on that would be for
2 NIOSH to pick up the phone and talk to the
3 petitioners and identify these documents that
4 indicate the data gap on the missing material
5 that they feel is there prior to 1963, and
6 then for NIOSH to report back to the Working
7 Group their findings on that. That is where I
8 was going.

9 MR. KATZ: Okay. Thanks for that
10 recap, Dick. I am sorry, but I just pressed
11 the wrong button at the wrong time.

12 So, yes, you have a couple of
13 options. I mean, Weldon Spring is on the
14 agenda for the Board for this next meeting.
15 It sounds to me like it is relatively quick
16 work to have this conference -- I mean, I
17 don't know what will come of it -- but
18 relatively quick work to have this conference
19 with the petitioners and sort out whatever
20 discrepancy there is in their different
21 understandings of what data are available.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, I mean, I think you could ask⁷⁶
2 Mark, or whoever is going to be presenting for
3 NIOSH, to present at the Board meeting on the
4 results of that discussion and whatever
5 confusion has been sorted out from that.

6 It doesn't give an opportunity for
7 the Work Group to meet again, but Weldon
8 Spring was already on the Board's plate and
9 was discussed at the last Board meeting as
10 well. So, whether or not the Board wants you
11 to return and have another Work Group meeting
12 or not is unclear to me. It may be that it --

13 MEMBER LEMEN: This is Dick Lemen
14 again.

15 I am not sure that is necessary.
16 I think your idea, Ted, is a good one, for
17 Mark to get this done before the California
18 meeting and report to the full Board. I
19 think, at least on my part, and I hope on
20 Dave's part, that we could resolve this issue
21 maybe at the Board meeting with this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 additional information from Mark after the
2 discussion.

3 Is that agreeable to you, Dave?

4 MEMBER RICHARDSON: Yes.

5 MEMBER LEMEN: It is agreeable to
6 me, too, Ted. So, that is the way I would
7 like to handle it.

8 MR. KATZ: That sounds good. That
9 sounds efficient.

10 And then, the other thing is just,
11 I mean, you and David haven't really opined on
12 the first issue, the blunders issue, yet, but
13 you have to decide whether you want to make a
14 recommendation regarding closing out that
15 issue, where you come down as a recommendation
16 for the Board.

17 And sort of related to that, I
18 think Ron Buchanan could sort of summarize.
19 We have the latest SC&A response to the NIOSH
20 response on that issue.

21 But it would be nice to have,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 since we won't have a transcript at that time,⁷⁸
2 it would be nice if Ron could just write up a
3 final document sort of resolving the ambiguity
4 that there was up until this meeting about
5 that issue.

6 MEMBER LEMEN: All right. Maybe
7 if that is done, Dave and I can talk and maybe
8 we can talk -- I don't know when it is on the
9 agenda, I don't remember, for the California
10 Board meeting. Is it the first or second day?

11 MR. KATZ: Let me think.

12 MR. ROLFES: This is Mark again.

13 I believe it is the second day.

14 MR. KATZ: Yes, I think that is
15 right.

16 MEMBER LEMEN: If it is the second
17 day, maybe Mike and Dave and I can have a few
18 minutes to just discuss this before, and we
19 could make a presentation, then, at the second
20 day to the Board and maybe get Weldon Spring
21 included.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, you certainly can
2 talk and present at the Work Group meeting.
3 Would you like Ron to write up a little sort
4 of summary of at least the technical
5 resolution of the blunders questions?

6 MEMBER LEMEN: Yes.

7 MR. KATZ: Okay.

8 MEMBER LEMEN: And I would like
9 him to, then, circulate that to me, Mike, and
10 Dave, if he could.

11 MR. KATZ: Yes, well, I will
12 actually circulate that to the whole Board
13 because they will have these other documents
14 that have come in most recently as well to
15 look at, or the last document from SC&A at
16 least.

17 MEMBER LEMEN: All right.

18 MR. KATZ: Yes.

19 MEMBER LEMEN: Are there any
20 comments, further comments or final comments,
21 from the petitioners?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MS. JOHNSON: You know, there was ⁸⁰
2 one other item that came up at the last Board
3 meeting.

4 Sorry. This is Karen Johnson
5 again.

6 And it had to do with lack of
7 worker data for, I think it was monitoring
8 data, for the first few operating years at
9 Weldon. Am I right about that?

10 MEMBER LEMEN: I don't know the
11 answer to that.

12 DR. BUCHANAN: This is Ron
13 Buchanan, SC&A.

14 And I think what you are referring
15 to is we did that limited initial data
16 completeness-and-accuracy test last summer.
17 We found that the overall badging and bioassay
18 rate was around 90 to 94-5 percent, but that
19 there was no badging in '67, of course, and
20 when the plant was closed down, and that the
21 years 1958 and 1959 were not as high as their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 averages. It was more like -- I don't recall
2 -- I think maybe 60 or 70 percent rather than
3 95 percent.

4 MS. JOHNSON: Okay. Thank you.

5 MEMBER LEMEN: All right. Any
6 other comments, Karen or Tina?

7 MS. JOHNSON: I think that's it
8 for me for now.

9 MEMBER LEMEN: Any other
10 petitioners on the line?

11 (No response.)

12 If not, I think where we stand is
13 that Ron is going to summarize what we
14 discussed on issue one. I guess, as far as
15 issue two, do you want to add that in your
16 summary or do you want to keep that separate?

17 DR. BUCHANAN: Well, are you
18 speaking to me, to Ron?

19 MEMBER LEMEN: Yes, and I was
20 wondering if maybe Mark Rolfes could just give
21 you that information and you could throw it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into your report. 82

2 DR. BUCHANAN: Well --

3 MEMBER LEMEN: Or do you think
4 that is two separate issues that should be
5 done separately?

6 DR. BUCHANAN: Yes, I think it is
7 two separate issues. I would like to get this
8 out, so the Board would have it before the
9 meeting.

10 If Mark would call Tina and get
11 that document, look at that, and then provide
12 a response to the Work Group, I think that
13 would work best.

14 MEMBER LEMEN: All right. That
15 will be fine.

16 Are there any other comments? I
17 think we have an action plan and a path to
18 follow. Any other comments by you, Dave,
19 or --

20 MEMBER RICHARDSON: No.

21 MEMBER LEMEN: Okay. Anything

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 further from SC&A? 83

2 DR. BUCHANAN: I don't.

3 MR. STIVER: Nothing here. This
4 is Stiver.

5 MEMBER LEMEN: Anything further
6 from NIOSH?

7 MR. ROLFES: I don't believe so,
8 Dr. Lemen.

9 MEMBER LEMEN: Okay. Ted, I will
10 turn it back to you because I think we have
11 concluded this Working Group meeting. I will
12 let you finish it up.

13 MR. KATZ: Well, I would just like
14 to say to everyone, the petitioners, the
15 staff, everyone, Board Members. I think this
16 was a productive meeting.

17 And, Dick, you get the pleasure of
18 adjourning it.

19 MEMBER LEMEN: What?

20 MR. KATZ: We are adjourned.

21 MEMBER LEMEN: Oh, okay. Thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1
2
3
4
5
6
7
8
9
10
11
12
13
14

you.

84

MR. KATZ: Thank you.

(Whereupon, at 11:24 a.m., the
meeting was adjourned.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com