

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

CENTERS FOR DISEASE CONTROL AND PREVENTION  
LEAD EXPOSURE AND PREVENTION ADVISORY COMMITTEE  
(LEPAC)  
MEETING HELD VIA ZOOM WEB VIDEO CONFERENCING  
DECEMBER 8, 2022, 11:00 A.M.  
PRESIDING OFFICER: PAUL ALLWOOD, Ph.D., M.P.H.,  
DESIGNATED FEDERAL OFFICIAL, NCEH/ATSDR

Mary K McMahan, CCR, CVR, RPR,  
FPR STEVEN RAY GREEN COURT REPORTING,  
LLC  
Atlanta, Georgia  
(404) 733-6070

**TABLE OF CONTENTS**

1		
2		
3	WELCOME AND ANNOUNCEMENTS	8
4	INTRODUCTIONS	15
5	AMERICAN SCHOOL HEALTH ASSOCIATION	
6	PRESENTATION AND Q&A	23
7	PUBLIC COMMENT	58
8	NATIONAL ASSOCIATION OF SCHOOL NURSES	
9	PRESENTATION AND Q&A	68
10	REDUCING LEAD LEVELS IN DRINKING WATER IN	
11	SCHOOLS AND CHILDCARE FACILITIES	100
12	LEAD SAFE TOOLKIT FOR HOME-BASED CHILDCARE	137
13	HEALTHY SCHOOLS NETWORK	168
14	FACILITATED DISCUSSION	203
15	WRAP UP AND DISCUSS TOPICS FOR NEXT MEETING	238
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

1 PARTICIPANTS

2 In alphabetical order

3  
4 PAUL ALLWOOD, Ph.D., M.S., R.S., LEPAC Designated  
5 Federal Official, Branch Chief, Lead Poisoning  
6 Prevention and Environmental Health Tracking Branch  
7 Division of Environmental Health Science and Practice  
8 National Center for Environmental Health  
9 Centers for Disease Control and Prevention.

10 MATTHEW AMMON, M.S., LEPAC Chair, Director,  
11 Office of Lead Hazard Control and Healthy Homes, U.S.  
12 Department of Housing and Urban Development.

13 PATRICK N. BREYSSE, Ph.D., CIH, Director,  
14 National Center for Environmental Health/Agency for  
15 Toxic Substances and Disease Registry, Centers for  
16 Disease Control and Prevention.

17 JEANNE BRISKIN, M.S., Director, Office of  
18 Children's Health Protection, U.S. Environmental  
19 Protection Agency.

20 TAMMY BARNHILL-PROCTOR, M.S., Supervisory  
21 Education Program Specialist, Office of Innovation and  
22 Early Learning, Office of Elementary and Secondary  
23 Education, U.S. Department of Education.

24 WALLACE CHAMBERS, JR., Ph.D., M.A.S, M.H.A.,  
25 R.E.H.S., Deputy Director, Environmental Public

1 Health, Cuyahoga County Board of Health.

2 MONIQUE FOUNTAIN HANNA, M.D., M.P.H., M.B.A.  
3 Senior Regional Medical Consultant, Health Resources  
4 and Services Administration.

5 NATHAN GRABER, M.D., M.P.H., F.A.A.P., Clinical  
6 Associate Professor, Department of Pediatrics, Albany  
7 Medical Center.

8 KRISTINA HATLELID, Ph.D., M.P.H., Toxicologist,  
9 U.S. Consumer Product Safety Commission.

10 KARLA JOHNSON, M.P.H., Administrator, Marion  
11 County Public Health Department.

12 DONNA JOHNSON-BAILEY, M.P.H., R.D., Senior  
13 Nutrition Advisor, Food and Nutrition Service; Food,  
14 Nutrition, and Consumer Services, United States  
15 Department of Agriculture.

16 JAMIE MACK, M.A., Association of State and  
17 Territorial Health Officials (ASTHO), Environmental  
18 Health Director Delaware Division of Public Health.

19 ERIKA MARQUEZ, Ph.D, M.P.H., Assistant Professor,  
20 School of Public Health, University of Nevada, Las  
21 Vegas.

22 HOWARD MIELKE, Ph.D., M.S., Professor, Department  
23 of Pharmacology, Tulane University School of Medicine.

24 ANSHU MOHLLAJEE, Sc.D., M.P.H., Research  
25 Scientist Supervisor I, Childhood Lead Poisoning

1 Prevention Branch, California Department of Public  
2 Health.

3 PATRICK PARSONS, Ph.D., Director, Association of  
4 Public Health Laboratories (APHL), Division of  
5 Environmental Health Sciences Chief, Laboratory of  
6 Inorganic and Nuclear Chemistry, New York State  
7 Department of Health.

8 AMANDA REDDY, M.S., National Center for Healthy  
9 Housing (NCHH), Executive Director.

10 PERRI RUCKART, Dr.P.H., M.P.H., Team Lead, Program  
11 Development, Communications and Evaluation, CDC Lead  
12 Poisoning Prevention and Surveillance Branch

13 JILL RYER-POWDER, Ph.D., M.N.S.P., Principal  
14 Health Scientist, Environmental Health Decisions.

15 STEPHANIE YENDELL, D.V.M, M.P.H., Senior  
16 Epidemiology Supervisor, Minnesota Department of  
17 Health (MDH), Health Risk Intervention Unit.

18 LAUREN ZAJAC, M.D., M.P.H, F.A.A.P, Assistant  
19 Professor, Icahn School of Medicine at Mount Sinai,  
20 Liaison to American Academy of Pediatrics (AAP).

21

22 Transcript Legend

23 (sic) - Exactly as said.

24 (ph) - Exact spelling unknown; spelled as sounded.

25 -- Break in speech continuity.

1 ... Indicates halting speech, unfinished sentence or  
2 omission of word(s) when reading.

3 -- "^" represents unintelligible or unintelligible  
4 speech or speaker failure, usually failure to use a  
microphone or multiple speakers speaking simultaneously;  
also telephonic failure.

5 Quoted material is typed as spoken.

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 P R O C E E D I N G S

2 **MS. KHAN:** Good morning, everyone. Welcome  
3 to this month's LEPAC meeting. As you can -- you  
4 should be able to see the agenda on your screen  
5 or on your computer.

6 It looks like we have attendees gradually  
7 joining. We'll get started shortly with  
8 introductions and then transition into our  
9 presentations for this meeting.

10 Thank you.

11 And as a reminder to all attendees,  
12 questions and discussion will not be open to you.  
13 The discussion will take place amongst the  
14 panelists.

15 And, Matt, I'll turn it over to you to get  
16 us started if you're ready.

17 **DR. ALLWOOD:** Samer, I think I'm going to be  
18 the one hitting things off.

19 **MS. KHAN:** Okay, great.

20 **DR. ALLWOOD:** Yeah. So just let me know  
21 when. I'll get things started.

22 **MS. KHAN:** Over to you then, Paul.

23 **DR. ALLWOOD:** All right. Thank you so much,  
24 Samer.

25

1 **WELCOME AND ANNOUNCEMENTS**

2 **DR. ALLWOOD:** Good morning, everyone. I  
3 don't think it's afternoon for anyone yet.

4 It is really my pleasure to welcome all of  
5 you to the Lead Exposure and Prevention Advisory  
6 Committee. And my name is Paul Allwood and I am  
7 the chief of Lead Poisoning Prevention and  
8 Surveillance at CDC, and I'm also the Designated  
9 Federal Official for the LEPAC.

10 We are really happy that you're joining us  
11 virtually for this meeting, and I am very pleased  
12 that we have -- looks like already over a  
13 hundred -- well, 100 people in this meeting. So  
14 that's pretty awesome.

15 Please remember that audience members are  
16 going to be muted during the meeting. We will  
17 have -- you know, we have a very full schedule  
18 for the meeting and so we're going to be sticking  
19 to the agenda times, you know, as best as we can.  
20 The meeting will be recorded and a transcript of  
21 the meeting as well as the meeting summary and  
22 other materials will be posted on our website.

23 At this point, it's a pleasure for me to  
24 introduce Dr. Pat Breyse who has served as the  
25 Director of the National Center for Environmental



1 Health and the Agency for Toxic Substances and  
2 Disease Registry for the past eight years.  
3 Dr. Breysse has been a tremendous support and  
4 champion of lead poisoning prevention. And under  
5 his leadership, CDC Childhood Lead Poisoning  
6 Prevention Program has been revitalized.

7 Dr. Breysse recently announced his plans to  
8 retire at the end of this year. So this will be  
9 his last LEPAC that he will attend as director.

10 Dr. Breysse, we thank you for the strong  
11 support that you've given to LEPAC and to lead  
12 poisoning prevention. And now I invite you to  
13 share a few words with your colleagues.

14 **DR. BREYSSE:** Thank you, Dr. Allwood. It's  
15 a pleasure to meet with y'all again and thank you  
16 for joining the sixth Lead Exposure Prevention  
17 Advisory Committee meeting. And, you know, it's  
18 important that we continue to move towards  
19 eliminating childhood lead poisoning as a public  
20 health problem. As you heard, this will be my  
21 last LEPAC meeting, so it's a little bit sad from  
22 that perspective as director of NCEH and ATSDR.  
23 And my retirement is set to start at the end of  
24 this year.

25 Since its first meeting in April of 2020,

1 the LEPAC has helped make great strides in  
2 eliminating child lead poisoning as a public  
3 health problem, in particular by unanimously  
4 voting to lower the blood lead reference value.  
5 And I was honored to be part of that. CDC staff  
6 who worked on the blood lead reference value  
7 update, I'm proud to say, recently received the  
8 2021 CDC ATSDR Award for Excellence in Public  
9 Health Protection for implementing the 3.5  
10 micrograms per deciliter blood lead reference  
11 value.

12 We are pleased to announce that it has been  
13 a little over a year since the LEPAC unanimously  
14 voted to update the reference value. We are  
15 currently evaluating the national progress on  
16 implementing the update and plan to publish a  
17 journal article and present at national meetings  
18 on this topic next year. Preliminary data show  
19 that thirty-seven states have already updated  
20 their childhood blood lead reference action  
21 levels and eight states are in the process of  
22 lowering it as well.

23 In September 2022, CDC in conjunction with  
24 the *American Journal of Public Health* published a  
25 supplement -- a supplemental issue on lead

1 exposure and prevention. So we continue to keep  
2 lead in the forefront of the public health minds.  
3 A link to the supplement is available on our  
4 website. The supplement covers a range of  
5 topics, including industrial occupational lead  
6 exposure, government prevention efforts, sources  
7 of lead exposure, blood lead testing,  
8 surveillance methods, and community prevention  
9 actions.

10 The purposes of the supplement are to  
11 advance the science of lead exposure prevention  
12 and mitigation, to provide a wide audience with  
13 comprehensive resources for understanding the  
14 state of lead exposure, and to address and  
15 contribute to the comprehensive understanding of  
16 current and known hazards of lead exposure.

17 I want to thank all members for their  
18 continued participation in LEPAC and I look  
19 forward to the committee's future successes for  
20 which I will be following from a different  
21 standpoint. So once again, thank you all very  
22 much. It's been my honor to work with you.

23 And one of the things I'm most proud of is  
24 the resurgence of the lead program here at CDC.  
25 As you remember, in 2012, a year or two before I

1 started, the lead program was essentially  
2 defunded. And now we have funding at  
3 historically high levels. And now it's truly a  
4 flagship program for CDC going forward.

5 So thank you all for your participation in  
6 that and I look forward to following your work in  
7 the future.

8 So I'll turn it over now to Matt to get his  
9 introductory comments in place. Thank you.

10 **MR. AMMON:** Thank you, Dr. Breyse. You  
11 went quickly through the accomplishments just for  
12 the most recent past of what you all have been  
13 able to do at CDC and in the course of the  
14 partnership of what we've been able to do  
15 together and really, again, in bringing this to  
16 the forefront yet again across the country in the  
17 work that we are doing.

18 So it's been my honor to be leading this  
19 group as chair and -- and none of this could've  
20 really happened without your guidance and your  
21 vision in doing this work. And, you know, there  
22 is -- we've had a very long history and it's been  
23 a very successful history. And one of the best  
24 things in ^ that we have in our work is  
25 that we have such a close partnership bond with

1 the agencies, not only federal but also state and  
2 local nonprofit we have really built to have  
3 this -- not only this long-standing twenty,  
4 thirty-year body of work where we've had so many  
5 accomplishments, but really it's about setting up  
6 for what the next twenty, thirty years holds.  
7 And actually today is a lot about that, and we'll  
8 hear some background on that.

9 But, you know, working with you,  
10 Dr. Breyse, has really been a pleasure. I mean,  
11 you've made our work at HUD and our work in LEPAC  
12 and in many other places around the country not  
13 only possible but also just the -- your presence  
14 in terms of continuing to build out the work that  
15 we know needs to continue to happen and has  
16 happened over these years.

17 And so I know you're leaving, but I know in  
18 many cases, too, when people leave there's always  
19 a reach back and there's always, you know, a  
20 guiding hand, maybe an invisible guiding hand,  
21 from the work that you have done. And so we are  
22 honored to continue to take that work and to take  
23 that resurgence that you talked about in terms of  
24 rebuilding the programs to really where it needed  
25 to be for so many years. And, you know, we're

1 very much proud of, of course, your work but also  
2 the work that you've done collectively with  
3 really many, many people here on this call who  
4 are surprised but not surprised. I know, you  
5 know, we all go through our path in terms of --  
6 of not only this journey of where we are  
7 together -- and, you know, I've always said that  
8 our group is not a very large group, that we're a  
9 very tightknit group, but it very much completes  
10 the puzzle, you being part of this group. And  
11 you've been a huge -- have had a huge role in  
12 this work and I couldn't thank you enough.

13 I know you always corrected me when I kept  
14 calling you Dr. Breysse. You kept going -- you'd  
15 say, No, I'm Pat. Call me Pat. And I thought  
16 that was a nice gentle hand in terms of the  
17 warmness, you know, and really, you know, your  
18 really enthusiastic personality, but also just,  
19 you know, the vision that you brought to all of  
20 this work and not only to this group but around  
21 the country. And we owe you a debt of gratitude.

22 And so I appreciate everything that we've  
23 done together and I very much look forward to  
24 still tapping your excellence and your knowledge  
25 in making sure that we continue on the right path

1 as we continue down. So again from me to you and  
2 to all your -- all the work that you have done, I  
3 appreciate it and I can't thank you enough.

4 **DR. BREYSSE:** Thank you very much. That's  
5 very kind of you.

6 **MR. AMMON:** With that I'm going to go ahead  
7 and hand it back over to Dr. Ruckart.

8 **INTRODUCTIONS**

9 **DR. RUCKART:** Good morning. For those of  
10 you who don't know me, I'm Perri Ruckart. I'm  
11 also in the Lead Branch at CDC, and I serve as a  
12 Deputy DFO to help with the meetings with Paul.  
13 I'd like to introduce the LEPAC members.

14 And when I call on your name, could you  
15 please indicate that you are here.

16 We have Tammy Barnhill-Proctor, Department  
17 of Education.

18 **MS. BARNHILL-PROCTOR:** Hi, I'm here.

19 **DR. RUCKART:** Good morning.

20 **MS. BARNHILL-PROCTOR:** Good morning.

21 **DR. RUCKART:** Jeanne Briskin from EPA.

22 **MS. BRISKIN:** Good morning. I'm here.

23 **DR. RUCKART:** Great. Wallace Chambers.

24 **DR. CHAMBERS:** Good morning. Here.

25 **DR. RUCKART:** Monique Fountain Hanna from

1 HRSA. Monique, are you here? Okay.

2 Nathan Graber.

3 **DR. GRABER:** Hi, good morning. I'm here.

4 **DR. RUCKART:** Morning.

5 Kristina Hatlelid, CPSC.

6 **DR. HATLELID:** Good morning.

7 **DR. RUCKART:** ^. Karla Johnson.

8 **MS. JOHNSON:** I'm here.

9 **DR. RUCKART:** Great. Erika Marquez.

10 **DR. MARQUEZ:** I'm here. Good morning.

11 **DR. RUCKART:** Thanks. Howard Mielke.

12 **DR. MIELKE:** Good morning. Yes, I'm here.

13 **DR. RUCKART:** Thank you for that. Anshu

14 Mohllajee.

15 **DR. MOHLLAJEE:** Good morning. I'm here.

16 **DR. RUCKART:** Thank you. And Jill

17 Ryer-Powder.

18 **DR. RYER-POWDER:** Yes, I'm here.

19 **DR. RUCKART:** Okay, great. I'd also like to

20 welcome and introduce our nonvoting liaison

21 members. When I call your name, please indicate

22 that you're present.

23 Patrick Parsons, representing the

24 Association of Public Health Laboratories.

25 **DR. PARSONS:** Good morning. I'm here.



1           **DR. RUCKART:** Great. Amanda Reddy from the  
2 National Center for Healthy Housing.

3           **MS. REDDY:** Good morning, everyone. I'm  
4 here.

5           **DR. RUCKART:** Thank you. Stephanie Yendell,  
6 representing the Council of State and Territorial  
7 Epidemiologists.

8           **DR. YENDELL:** Good morning. I'm here.

9           **DR. RUCKART:** Thank you. Lauren Zajak,  
10 representing the American Academy of Pediatrics.

11           **DR. ZAJAC:** Hi. Good morning, everyone.

12           **DR. RUCKART:** Okay, thank you. Jamie Mack,  
13 representing the Association of State and  
14 Territorial Health Officials. Are you here?  
15 Okay.

16           And then I'd also just like to point out a  
17 few of the members who were unable to attend:  
18 Donna Johnson-Bailey from USDA, Tiffany DeFoe  
19 from OSHA, Ruth Ann Norton from Green & Healthy  
20 Homes Initiative, and also Dr. Michael Focazio  
21 has retired from USGS.

22           So I will now turn it back over to Paul.  
23 Thank you.

24           **DR. ALLWOOD:** Thank you, Perri. I think  
25 there's a chat from Monique that says she is

1 here. So ...

2 DR. HANNA: I am.

3 DR. ALLWOOD: Right, great.

4 DR. RUCKART: Okay, great. Thank you.

5 DR. ALLWOOD: Hello again, everybody. You  
6 know, we have an exciting meeting that we have  
7 planned for today. And in a little bit, I'll  
8 just say a little more about, you know, what  
9 we're going to be experiencing together today.

10 But first let me just remind everyone that  
11 the LEPAC last met in May of this year and --  
12 actually May the 12th, to be precise. There  
13 were -- you know, quite a strong attendance.  
14 There were a hundred and twenty-five people that  
15 attended that meeting. And the additional  
16 details about the presentations that were given  
17 that day and the discussions that took place can  
18 be found on the LEPAC's website. There's also a  
19 transcript, a full transcript of that meeting,  
20 that's going to be -- that's available on the  
21 website. So please check it out if you would  
22 like to, you know, get any additional details  
23 about that meeting or if you just want to just,  
24 you know, go back and have a refresh of the  
25 proceedings of that day.

1           So, like I said, today's -- it's a special  
2 meeting. Our focus is going to be on lead and  
3 lead exposure concerns at schools and childcare  
4 facilities. And we're doing this because, you  
5 know, everyone is concerned about lead exposure  
6 hazards where children learn.

7           We will have presentations from American  
8 School Health Association, the National  
9 Association of School Nurses, the EPA, the  
10 National Center for Healthy Housing, and the  
11 Healthy Schools Network. We will also hear from  
12 a public commenter, Dr. Diana Zuckerman.

13           So we're going to -- we're asking you to,  
14 you know, listen, as you always do. You know,  
15 take notes, ask questions of the presenters, and  
16 keep an open mind for opportunities -- for gaps  
17 and opportunities as you hear the various  
18 presentations. And then be prepared to share  
19 your thoughts about, you know, what we might do  
20 together as an advisory committee to address gaps  
21 in protecting kids from lead exposure in schools  
22 and in childcare facilities.

23           So just a few updates. You heard some of  
24 this, you know, when Dr. Breysse spoke. But, you  
25 know, Lead Branch, you know, continues to commit

1           itself to a vigorous effort in support of the  
2           provision of ending childhood lead poisoning as a  
3           public health problem in the United States. And  
4           to help us get to that goal, we've established  
5           new partnerships to expand Childhood Lead  
6           Poisoning Prevention and Surveillance capacity  
7           across the states, in our affiliated territories,  
8           and among tribes.

9           We also recently had our annual recipient  
10          meeting. It was very well attended. There were  
11          over 250 attendees at the meeting which occurred  
12          in November. And we're really, really pleased to  
13          see that kind of, you know, continued strong  
14          support and partnership among the states and, you  
15          know, between the states and the CDC and various  
16          other partners in federal agencies and nonprofit  
17          organizations that are all committed to ending  
18          childhood lead poisoning.

19          We are also pleased that we were able to  
20          publish a supplement to the *American Journal of*  
21          *Public Health*. There's a special issue on lead  
22          poisoning prevention. That came out in September  
23          and, you know, we've so far received very, very  
24          positive feedback on that supplement.

25          We also updated our public health reporting

1 and national notification for lead in blood and  
2 this was done in partnership with CSTE. We're  
3 really pleased to see that come together. As  
4 Dr. Breysse said, we earned the 2021 CDC/ATSDR  
5 award for Excellence in Public Health Protection  
6 for the work done on the BLRV update. And we  
7 thank, you know, this advisory committee for its  
8 tremendous work in support of that effort.

9 Just in case you've missed it, the Federal  
10 Advisory Committee Act celebrated its 50th  
11 anniversary in October of 2022. Federal advisory  
12 committees are a key component of CDC's overall  
13 strategy to achieve stakeholder and public  
14 engagement in its efforts and commitment to  
15 improving the public's health.

16 The work of the LEPAC, as you heard a couple  
17 of times already this morning, in lowering the  
18 blood lead reference values specifically  
19 highlighted in an internal CDC article discussing  
20 multiple CDC FACA, or Federal Advisory Committee  
21 Act, achievements over the past 50 years. So  
22 again, you know, deepest gratitude to the  
23 committee for helping us achieve that milestone.

24 There are currently about twenty federal  
25 advisory committees that provide advice and

1 recommendations on a broad range of public health  
2 topics to the CDC, and we are really pleased to  
3 have the ability to work with partners on FACA  
4 committees to get our very important work done.

5 **MS. KHAN:** Hi, Paul. I just want to do a  
6 two-minute time check.

7 **DR. ALLWOOD:** Thank you. I'm keeping my eye  
8 on it, Samer. Thank you.

9 And then just to wrap up this section, I'd  
10 like to take a moment to recognize the following  
11 committee members who are retiring from the  
12 committee. And I want to also thank them for  
13 their service. So Tammy Barnhill-Proctor is  
14 retiring from the committee. Jeanne Briskin is  
15 retiring. Michael Focazio is retiring from  
16 federal service. Tiffany DeFoe is also leaving  
17 the committee. Monique Fountain Hanna is leaving  
18 the committee. Kristina Hatlelid is leaving.  
19 Donna Johnson-Bailey will be leaving her  
20 committee. Howard Mielke will be leaving the  
21 committee, and Jill Ryer-Powder. Once again, you  
22 know, my sincere thanks to all of. You know,  
23 serving on a committee like this is a huge  
24 commitment, you know. And so we are really  
25 pleased that you gave us your time, your wisdom,

1 you know, your tremendous support over the last  
2 couple of years to allow us to achieve some of  
3 the milestones that we have achieved. Thank you  
4 very much and we wish you very well.

5 And now I'm going to turn things back over  
6 to our chair, Matt Ammon, to introduce the first  
7 speaker. Thank you.

8 **MR. AMMON:** Thank you very much,  
9 Dr. Allwood, and great -- great overview. You  
10 know, we've -- there's so much work that we've  
11 actually done this year. And again, in looking  
12 at what we have accomplished over the last, you  
13 know, twenty to thirty years in looking toward  
14 the future, you know, this is certainly an area  
15 that looks for involvement as part of our work  
16 for the future.

17 So it's a great day to hear on all of these  
18 topics. And the first topic presentation we'll  
19 hear today is from American School Health  
20 Association, from Dr. Alter and also Derek  
21 Shendell. So I'll turn it over to them.

22 **AMERICAN SCHOOL HEALTH ASSOCIATION PRESENTATION AND**  
23 **Q&A**

24 **DR. ALTER:** Great. Thank you, Matthew, so  
25 much. Congratulations for all these retirements.

1 We -- kudos to you for all of the great work  
2 you've done and we will live vicariously through  
3 you, see you transition into this next chapter.

4 My name is Jeanne Alter. I'm the executive  
5 director of the American School Health  
6 Association. If you are not familiar with us, we  
7 are a multiple disciplinary professional  
8 association made up of a variety of different  
9 health professionals, educators, all within the  
10 school and community who are focused on the  
11 health and well-being of students.

12 So we really embrace the whole school, whole  
13 community, whole child framework. And you will  
14 see that in the work that we do and the services  
15 that we provide to our members, that they are  
16 multidisciplinary, coming from a variety of  
17 perspectives. I'm so grateful that ASHA has a  
18 seat at the table today for this very important  
19 discussion.

20 We are involved in a lot of different topics  
21 and spaces, but we have been involved in work  
22 around lead exposure in schools and the wider  
23 community. As an example, we partner with the  
24 EPA's 3Ts and the National Lead Poisoning  
25 Prevention Week. We're also working to activate



1 champions around school health issues, including  
2 education around proposed legislation related to  
3 a variety of issues that affect students,  
4 including lead in schools.

5 We also have our *Journal of School Health*  
6 which is a wonderful resource for recent research  
7 on these types of issues and a broad variety of  
8 issues. And I think most importantly we have  
9 wonderful members like Derek Shendell from the  
10 Rutgers School of Public Health who are experts  
11 in this area.

12 And I'm pleased to pass the baton to  
13 Dr. Shendell to share a little bit more with you.  
14 Derek.

15 **DR. SHENDELL:** Yeah, thank you. So I do  
16 hope you can now see me and I did remove my mask.  
17 We're still running that policy here for faculty  
18 and clinicians at Rutgers. So thanks for your  
19 patience as I get -- put on the camera.

20 So I've been asked by Dr. Alter and  
21 Dr. Kayce Solari Williams to try my best to  
22 represent many of the members of ASHA that work  
23 on school safety and health. I'm an  
24 environmental scientist and engineer by training  
25 and I have actually worked at CDC in the past

1 with Perri early in our careers. So I'm happy  
2 today to give a perspective from someone with an  
3 interdisciplinary training in both public health,  
4 environmental science, and engineering as applied  
5 to indoor air quality and water. But I want to  
6 preface what I'm about to say by acknowledging  
7 that you're going to have many other experts  
8 during this meeting today who are going to bring  
9 other perspectives from other agencies. So I may  
10 reference a few things at certain times, but I'm  
11 going to defer to our colleagues from EPA and  
12 some of the other organizations to go into more  
13 detail.

14 And I want to thank Ms. Briskin for sending  
15 out that update letter the other day, which I did  
16 read this morning, which gives you again more  
17 information about what's going on throughout EPA  
18 and with some of the other international  
19 organizations that CDC and EPA interacts with.

20 So next slide, please.

21 I know you're going to forward these for me,  
22 right? Okay. So I start -- whenever I teach our  
23 core class for all of the graduate students in  
24 public health, including the undergrad, four plus  
25 ones, and our MD/MPH students, I remind them --

1 and I just wanted to politely remind all of you,  
2 although I realize this is not news to all of  
3 you -- issues regarding lead in our communities,  
4 homes, schools, workplaces of various types --  
5 indoors, semi-enclosed, outdoors -- is not a new  
6 problem. But what's interesting to me when I go  
7 over this with our graduate students and even  
8 with groups like this that may be interested in  
9 trying to advance public health, especially in  
10 schools and childcare, is that water is necessary  
11 for life.

12 And as we've seen through the COVID-19  
13 pandemic, it's not just about drinking, bathing,  
14 cooking. It's for hand-washing and as well, in  
15 many parts of the world, pottery, which is, you  
16 know, made by hand or sometimes in manufacturing  
17 plants for various purposes: drinking wine,  
18 holding foods, just being artworks to decorate  
19 homes. Again, the issue is not that we don't  
20 want to remove cultural goods. We need to make  
21 sure that the paint used to glaze this pottery is  
22 not containing lead. And I'll come back to that  
23 later on because it's some of the work that my  
24 team has done and that my colleagues in New York  
25 City have done to find other areas of our society

1 where lead paint or lead as a coloring agent  
2 still is getting into the marketplace.

3 But finally, of course, while we've made  
4 incredible strides in this country about removing  
5 lead from air, there are still some issues with  
6 lead in the air. In particular from my  
7 experience being a graduate student at UCLA and  
8 living on the west side, there were always  
9 ongoing concerns and now finally it's starting to  
10 be addressed about how lead can be in certain  
11 small aircraft piston engines because that is  
12 not -- those kinds of planes are not using  
13 unleaded gas. And again I refer to Ms. Briskin's  
14 excellent update summary that she sent around  
15 because the most recent actions taken by the FAA  
16 and EPA are summarized in that.

17 Next slide, please.

18 So -- I'm just waiting for the slide. Yes,  
19 thank you. So human exposure assessment, this is  
20 a basic definition. And the only reason words  
21 are bolded -- because I do realize we're trying  
22 to have assistive technology today -- is just  
23 because each of those words is key to the  
24 definition.

25 And so again if -- even if we consider that

1 the agent of concern is lead here, we still are  
2 talking about multiple environmental media. I've  
3 already gone over several of them. We'll have  
4 another graphic in a moment. And we have  
5 pathways and routes. Pathways is how things get  
6 from a source into the environmental media and  
7 then we have the routes of exposure. There are  
8 acute and chronic exposure concerns, however, I  
9 would argue in this particular case we're really  
10 most concerned with chronic exposure to lead.  
11 So, yes, we definitely want to prevent or reduce  
12 each of the acute exposures to lead, no question  
13 about it. But from a human health perspective --  
14 even at low levels since there is no safe level  
15 of lead -- I know we also all agree on -- that  
16 intermittent or continuous or even episodic  
17 exposure in a chronic manner is what we're  
18 concerned about, not just for schools and daycare  
19 but also homes.

20 Next slide, please.

21 So in this list, all I'm doing here is not  
22 giving you all a lesson in exposure agents. The  
23 main point I'm trying to make is heavy metals  
24 like lead, with the periodic table symbol there  
25 for you, which, again, I know all of you already

1 know, but it's one of many things that we are  
2 addressing in schools and daycare. This -- for  
3 me and my team, our everyday job is to be aware  
4 of all of these different things and in addition  
5 now try to understand more about not just  
6 workplace violence for youth who are getting  
7 work-based learning on and off campus but the  
8 role of psychosocial stressors and mental health.

9 So lead is very important. But it's one of  
10 many things that we need to address in schools.  
11 And in my opinion, when you address lead in water  
12 or in dust on surfaces and other specific  
13 locations because of specific sources, you are  
14 also going to reduce or perhaps prevent exposures  
15 to these other agents of concern.

16 Next slide, please.

17 So for pathways and routes, these are just  
18 again the basic definitions. What I want to  
19 highlight here, when we're in schools and we're  
20 talking about young children and even adults --  
21 because I know Claire is going to go into this  
22 later too -- schools are workplaces for adults of  
23 varying age groups, different susceptibilities  
24 and vulnerabilities. We are concerned about  
25 inhalation, dermal, and ingestion. And with

1 something like lead, that tends to be in dust.  
2 So the larger fraction that, while it can be  
3 resuspended in the air, is also going to lead to  
4 dermal contact, to nondietary ingestion, and  
5 perhaps even dietary if something's contaminated,  
6 but also hand-to-object or  
7 hand-to-object-to-mouth exposure.

8 So next slide, please.

9 So that's really nicely summarized. I want  
10 to just pay respect to someone -- again, I mean,  
11 there's many people retiring today, which is a  
12 little sad and of course exciting. I  
13 congratulate you all and wish you the best in  
14 your next endeavors, but one of the people that  
15 was important to the exposure science field when  
16 I was a graduate student and early in my career  
17 was Larry Needham who ran the lab before Antonia  
18 and Dana did at CDC.

19 And this was a nice flowchart that he had in  
20 one of his papers in *Environmental Health*  
21 *Perspectives*. And it really summarizes the  
22 exposure to health effects pathway. So in the  
23 context of lead -- and I did use colors here on  
24 purpose, so I apologize to our colleagues who are  
25 running via assistive reader. The green circling

1 the source is just to highlight that ideally we  
2 want to remove the source. That would be the top  
3 of the industrial hygiene hierarchy of controls.  
4 In reality as we've -- I've showed you before,  
5 lead's been around for a long time. And it's  
6 probably going to be around in some capacity  
7 worldwide for the foreseeable future. So we need  
8 to reduce or limit exposure. Those are the  
9 different environmental media at the top in  
10 the -- what I'll call the slightly lighter green  
11 bar. But I also circled with a dotted line  
12 personal care products. I think this is one of  
13 the things that Dr. Needham and his colleagues  
14 really brought to the thinking of people and  
15 exposure science and environmental epidemiology,  
16 including the teams I've been part of, because  
17 personal care products are important in  
18 cosmetology. It's definitely been an emphasis  
19 with our own work here at Safe Schools Program to  
20 increase the training for students in that area.  
21 But we also recognize that -- and this is work by  
22 my colleague, Emily Barrett, and Adana LLanos  
23 Wilson at Columbia -- that people are bringing  
24 these products into schools. So even if we  
25 address the sources that are in schools and



1 daycare, we also need to increase education and  
2 outreach about what might be brought into schools  
3 not just by teachers and other adults but the  
4 students themselves, in particular young  
5 adolescent and older adolescent students.

6 And there's a whole range of consumer  
7 products that may or may not contain lead, and  
8 I'll have another infographic in a moment about  
9 that. And then the rest of the pathway is really  
10 just -- I'm just -- sorry, I'm just reading a  
11 chat from Ms. Briskin.

12 Okay, I'll look at that later, Jeanne.  
13 Thanks.

14 The rest of the flowchart is a pathway just  
15 trying to, again, summarize how you go from  
16 exposure to adverse health effect. And, again,  
17 we're most concerned about chronic exposure,  
18 leading to a whole host of adverse health  
19 effects, mainly to neurological systems. But  
20 there's also evidence about various cancers and  
21 also, given it's part of dust, cardiovascular and  
22 respiratory diseases.

23 Next slide, please.

24 Okay. So this was a screen shot. So I  
25 appreciate CDC's patience in using this. This

1 was part of a presentation that Dr. MacDevette  
2 did for a WHO/UNICEF talk with the organization  
3 called Pure Earth, formerly Blacksmith Institute.  
4 And it was during the pandemic, so it was on  
5 Zoom. And the report that it's part of I  
6 couldn't find the exact way to import that into  
7 PowerPoint, so I kept this screen shot. And what  
8 I liked about it, it's going again from past to  
9 present. And it's going beyond your typical  
10 sources of lead to things that maybe are not as  
11 relevant at -- you know, at first, look for  
12 schools. But I would argue, given people bring  
13 things in and all of our teachers and staff are  
14 being incredibly creative these days about how to  
15 teach things in the STEM fields, that we should  
16 at least recognize that in their own lives or  
17 that perhaps as residue on their clothes and  
18 bags. Some of these things could still make  
19 their way indirectly into school. So again, it's  
20 part of the education and outreach that I know  
21 this group and that EPA is putting more emphasis  
22 on in current times.

23 So if we skip down to something like  
24 cosmetic and dyes, and where you see it says,  
25 Used as an additive to enhance color. So our own

1 work, which again is actually, I believe, done  
2 with Manthan or Dr. Shah's here -- Manthan's  
3 dissertation and the work he did with  
4 Dr. Halperin and I in the lab at Newark Medical  
5 School was to show that sindoor , which is a  
6 religious product from India which is also sold  
7 throughout the United States, including New  
8 Jersey where we have a large South Asian  
9 population, is definitely one way that people can  
10 be exposed to lead through all exposure pathways,  
11 especially inhalation, dermal, and ^.

12 Paramita Hore at the Department of  
13 Health and Mental Hygiene in New York City and  
14 her team have done numerous papers and studies  
15 about spices and other ways that lead is making  
16 its way into foods directly or as preparations of  
17 food. So if you're not familiar with her work, I  
18 highly recommend it.

19 And, again, maybe schools are not using these  
20 things, but the people that attend schools could  
21 be. And for certain religious holidays or, let's  
22 say, demonstrations or activities, it's possible  
23 that these things could be used in the classroom.

24 The other thing I want to point out is on

1 the right side, third one down. We're going to  
2 have another slide about that in a moment, which  
3 is, of course, the lead in the infrastructures  
4 providing water in our communities, especially  
5 through homes and schools.

6 Next slide.

7 Okay. So this is directly from an article,  
8 an environmental health perspective. And in my  
9 opinion, it's something that's really good to be  
10 able to just remind people from a - what I'll  
11 call a cross-sectional plan view. So engineers  
12 and architects, we like looking at these kinds of  
13 drawings a lot of the time. And nowadays you're  
14 getting into 3D, but this is more of a  
15 2D-quasi-3D drawing depending on how you view it.

16 But it's a reminder that the water  
17 distribution system in our communities - now,  
18 this is showing a home, but I would argue it's no  
19 different for a traditional site-built school  
20 building. It would be a little bit different for  
21 a portable classroom or one of these  
22 "multiple-purpose portable classroom" type of  
23 structures because you might have an extra  
24 service line off the main going to the school to  
25 get to those things if they have a cold water

1 sink.

2 But for the most part, if you could imagine  
3 that the house here was actually just your  
4 traditional site-built school building with  
5 multiple classrooms, multiple uses, each of those  
6 rooms with a sink which should be only cold water  
7 in most cases, if you're talking about a  
8 cafeteria, both hot and cold. But you go from  
9 the service line which is getting water from the  
10 treatment plant, and then you're going to these  
11 different points. And at certain points, you're  
12 going to have potential for lead to be in the old  
13 pipes, whether it's the service line or even the  
14 main. There's been immense efforts and  
15 investments to try to remove lead from mains and  
16 service lines.

17 But also we cannot forget about plumbing in  
18 older schools. There's - in my own state, where  
19 I am now, major cities had issues with water in  
20 schools, even with the mains and service lines  
21 sort of separated out because the plumbing and  
22 the fixtures at the water fountains were old and  
23 they had to shut them off, provide bottled water,  
24 maybe put in one of those 5-gallon water  
25 dispensers and the children and teachers have

1 their bottles in some cases. That may have been  
2 more efficient. But nonetheless they cost a lot  
3 of money.

4 So when we're dealing with lead in water,  
5 there are multiple points along the pathway, from  
6 the treatment plant to the user, that we have to  
7 care about. And I would just remind folks while  
8 mains and service lines are really important in  
9 communities because they help schools and homes,  
10 within schools it may be some investment not just  
11 to change out faucets but to do the proper amount  
12 of testing to even know if you have a problem is  
13 warranted. And, again, I'll defer to our EPA  
14 colleagues later on who are going to say more  
15 about what's going on with that.

16 Next slide.

17 Okay. Just to highlight more details if  
18 you're interested, you may be familiar with the  
19 work of Professor Andrew Whelan, who is at  
20 Purdue, and his engineering team. There's  
21 actually a report he just put out and there was a  
22 distribution of the website through the American  
23 Public Health Association around the time of the  
24 annual meeting. So if you're an APHA member, I  
25 highly encourage you to look at the APHA

1 communication tool because he had a link to it.  
2 But one part of that references this table in a  
3 paper I put the reference to at the bottom. And  
4 it's just to highlight how commercial plumbing  
5 can get complex. It's, you know, all the way  
6 from the water source to the point of use. There  
7 are a few other things to consider if you're into  
8 the details of plumbing engineering. So in this  
9 case I just want to point out that people do add  
10 water treatment devices between the system and  
11 the point of use. If you have hot water versus  
12 cold, that affects things.

13 We don't have the ability to go into the  
14 analytic chemistry, but my colleague Brian  
15 Buckley just recently gave a presentation where  
16 he went into how temperature and other factors  
17 within the water could really make it even more  
18 complex when you're talking about lead because it  
19 will behave differently in the context of other  
20 metals and pollutants present. But if you're  
21 interested in this, this is a really nice  
22 reference Andrew's group has put out and he just  
23 has a whole website that got launched based on  
24 this research that he did with federal funding.

25 Next slide, please.

1           Okay. So I do want to have a couple of  
2 highlights of one of the reports. I was a  
3 member, as Jeanne Briskin and others may know, of  
4 the Children's Health Protection Advisory  
5 Committee and I was part of the subcommittee that  
6 worked on a letter or report about schools and  
7 childcare throughout most of the early part of  
8 the pandemic. And it was sent in July of 2021.  
9 And Ms. Briskin on behalf of the agency had a  
10 reply that fall.

11           So all of those things are available online,  
12 but I want to highlight a couple things in the  
13 following slides that are a little different from  
14 what you'll get more about today regarding the  
15 Revised Lead and Copper Rule and maximum  
16 contaminant levels, et cetera, from our EPA  
17 colleagues. At least I'm making that assumption,  
18 and I also refer you to Ms. Briskin's written  
19 summary that she sent.

20           Next slide, please.

21           Okay. So in some of these cases, I've given  
22 you the page number and a quote. So I could read  
23 this off for you, but I think they've done a good  
24 job in maximizing this screen. So I think the  
25 main point we're trying to point - or say here



1 is, again, there's no safe level of lead in the  
2 human body. So while it's possible that you  
3 achieve this action level that is set in terms of  
4 the maximum contaminant, the goal is zero which  
5 is maybe something you can't get to. But there  
6 should be actions to further reduce levels as  
7 close to zero as possible, trying to get toward  
8 the goal, which means reduce or limit maybe even  
9 prevent exposure.

10 So this is relevant to the risk  
11 communication that is going on at schools,  
12 whether that's through the administrators, the  
13 school nurse, or the teachers with the students  
14 directly.

15 Next slide, please.

16 So this emphasizes - and I'm happy to see  
17 that there's some actions being taken at EPA now  
18 for a variety of reasons around, like,  
19 strengthening public education and risk  
20 communication; have consistency at a reading  
21 level the majority of the American public  
22 understand, which even though we're talking about  
23 schools, most of what we see available from  
24 agencies is sixth to eighth grade. That's our  
25 target here at Rutgers School of Public Health

1 and in the medical schools with the clinics.  
2 However, you know, for little kids there may need  
3 to be some other things and you will probably at  
4 least need English and Spanish and maybe even  
5 some other languages as well.

6 So the recommendations for improving risk  
7 communication, I know, has been taken to heart  
8 and there's some really good work going on  
9 throughout EPA about this right now in the  
10 different areas.

11 Next slide, please.

12 Another aspect that we looked at was the  
13 Lead Renovation, Repair, and Painting Rule. And  
14 right - currently, being at the time we did this  
15 report, it only applied to older buildings before  
16 1978, where six-year-old or under - so really  
17 we're just talking about potentially about  
18 kindergarten and first grade with respect to  
19 schools - visit two days a week for at least  
20 three hours. So we recommended expanding this to  
21 areas of school where elementary school-age  
22 children spend time in general.

23 I also wanted to point out - I made a note  
24 here on my own preparation that a lot of schools  
25 these days will have pre-K located in the

1 K-to-12 - at least the K-to-6 school. In some  
2 small parts of the country, K-to-8 may be in the  
3 same building, which means there may also be a  
4 childcare component to help teachers out on site.

5 And then in addition to that, some schools  
6 now have school-based health clinics under that  
7 Whole School, Whole Community, Whole Child Model  
8 that CDC has. So there's definitely more than  
9 enough reason to try to further improve this rule  
10 if you're talking about applying it to not just  
11 homes but to schools.

12 Next slide, please.

13 So this is a summary of what has happened at  
14 both CDC and EPA. So I'm just going to let this  
15 sit up for a moment because then the next slide  
16 is kind of the recommendation that CHPAC gave.

17 So next slide.

18 So we recommended that the standards that  
19 are part of the current rule be updated to  
20 account for CDC's changes of the blood lead level  
21 reference value. So, you know, it went from ten  
22 to five. I believe now it's at 3.5 micrograms  
23 per deciliter of blood. And so that - if this  
24 is updated, we can at least have more accurate  
25 cumulative and aggregate exposure assessments and

1 really try to address things throughout the  
2 country for schools and childcare, and in  
3 particular with the emphasis on environmental  
4 justice. And in our own state, this is highly  
5 relevant because we have more Superfund sites  
6 than any state in the country in New Jersey.  
7 There are many communities with schools either on  
8 or near what are known as the Superfund sites or  
9 RCRA cleanup sites. And while some of the  
10 concerns go beyond lead to other metals, like  
11 chromium and manganese and arsenic, et cetera,  
12 even some issues maybe with asbestos if it gets  
13 loose. It's just a reminder for lead that  
14 there's definitely some room to improve if we  
15 could have those standards from EPA reflect the  
16 CDC changes in terms of strengthening the blood  
17 lead level action cut off.

18 Next, please.

19 So I'm going to turn it back to Ms. Briskin  
20 at that point. And I think that she may just  
21 open it up for general questions. But on the  
22 next slide is just contact information about our  
23 Safe Schools Program.

24 Next slide, please.

25 So we offer a variety of resources. If

1 you're interested in cosmetology and that whole  
2 "personal care product, consumer product" issue,  
3 we've done a lot of work through previous funding  
4 and we've got things pending. Credit out to my  
5 colleague, Jenny Houlroyd, who's an industrial  
6 hygienist at Georgia Tech, there in Atlanta.

7 So there's a lot of things we've done with  
8 those parts for our website and just in general  
9 about various checklists you can use in K-to-12  
10 schools to address any of those various exposure  
11 agents. Those are all a part of what we used to  
12 call the Safe Schools Manual. I would say in the  
13 last years the emphasis has been completely on  
14 ventilation and filtration, but historically we  
15 had to cover a variety of things that schools  
16 need to look at every time they open in the fall  
17 and ideally again when they reopen in January  
18 after the holiday. So thank you for your time.

19 And I think Ms. Briskin and I tried to leave  
20 at least ten or fifteen minutes, Perri, before  
21 the public comment session. So we'll turn it  
22 back to you and see how you want to do this.

23 **DR. RUCKART:** Okay. Well, now will be time  
24 to take questions from the LEPAC members for  
25 Derek or Jeanne. And, Matt, will you be running

1 that or would you like me to?

2 **MR. AMMON:** You can. I actually have a  
3 question, but you can run it.

4 **DR. RUCKART:** Okay. Well, let's start with  
5 you.

6 **MR. AMMON:** Sure. So first of all, great  
7 presentation. Really a lot of good background  
8 information. One of the things you had touched  
9 on is, you know, what are available sources of  
10 funding for both testing of the - this is in  
11 school water, and what are the sources of funds  
12 inside to replace plumbing and fixtures? So that  
13 would be my question.

14 So, one, is there - are there - I'm sure  
15 there are school districts which have regular  
16 testing of the water. I know where I am that  
17 they do. But sources of funding - and I'm not  
18 just talking about federal sources - what are  
19 usually the federal - the sources of funding to  
20 replace plumbing and fixtures?

21 **DR. SHENDELL:** So I can - Jeanne, if you  
22 want, I can try to start that. I do think  
23 there's some other people on this committee from  
24 EPA that probably are more appropriate to answer  
25 this further.

1           But my understanding is this. So couple of  
2 things. So I know you said don't talk about  
3 federal, but I think it has to be noted that EPA  
4 just gave, you know, an eight-figure amount of  
5 grants nationwide to reduce lead in drinking  
6 water in communities and schools. Schools and  
7 childcare are the second priority area, separate  
8 from, you know, like, just community  
9 infrastructure, you know, mains and service  
10 lines. So there's definitely money through the  
11 big federal sources that have been coming out of  
12 the Bipartisan Infrastructure Law. And then - I  
13 believe it's annual or pretty nearly annual funds  
14 that work on, you know, improving drinking water  
15 and storm water and wastewater infrastructure.

16           In our state - and I can't speak for every  
17 state. I'm familiar with maybe California,  
18 Georgia, and New Jersey, but in our state there  
19 also were some public-private partnerships that  
20 occurred with other funds. So this is before  
21 COVID-19 actually, where there was some pressure,  
22 let's say, on some of the big cities like Newark  
23 and Jersey City. So some public-private  
24 partnerships formed to rapidly not only increase  
25 jobs in small businesses based in Northern Jersey

1 but also to try in an expedited manner to at  
2 least replace as many mains as possible in the  
3 city of Newark because they had identified lead  
4 in water, especially in one service area over  
5 another, as being a major problem. Of course  
6 that doesn't get at the individual apartments and  
7 homes that had older plumbing, but from the mains  
8 point of view, there was some other state and  
9 local money to leverage these public-private  
10 partnerships to do it.

11 I'll defer back to the people from EPA or  
12 maybe Dr. Alter has some other examples from  
13 ASHA. But I do think things vary by state and  
14 locality depending on a variety of funding  
15 sources and political will, which was maybe -  
16 there was some pressure on that city, here in New  
17 Jersey, to do something, admittedly, in the last  
18 administration. But I think they stepped up and  
19 a lot got done within - somewhere in 12 to 18  
20 months if I remember.

21 **DR. RUCKART:** (indiscernible) -

22 **DR. ALTER:** Yeah. I'll just make one  
23 comment. I'm sorry, Perri.

24 **DR. RUCKART:** (indiscernible)

25 **DR. ALTER:** I was just going to make a



1 comment and then maybe invite Claire to add on.  
2 But just to say that I think one of the issues  
3 that schools are facing is the fear - so, you  
4 know, there's money there for testing, perhaps,  
5 but the fear that they'll find that there's lead  
6 in the drinking water, and then how will - what  
7 do they do with that information? And if they  
8 are not able to then remediate and make those  
9 replacements - there's no funding to do that -  
10 then it's better not to know. So I think making  
11 it - that's sort of the challenge for a lot of  
12 schools is overcoming that fear and knowing where  
13 to get help to make those changes.

14 And, Claire, I'd love to hear from you as  
15 well. I know we've had conversations about this  
16 in the past.

17 **MS. BARNETT:** Right. Thank you, Jeanne.  
18 Thank you, Derek. That was a great discussion.

19 There is WIIN money which is for regular  
20 testing. The bipartisan infrastructure, as Derek  
21 pointed out, is - has a huge amount of money.  
22 But part of the problem is exactly what Jeanne  
23 just described, is if you find it, can you deal  
24 with it?

25 One of the things we found in New York where

1 we passed the nation's first law in the country  
2 on testing at the tap for lead in all schools was  
3 that there were even lead-lined water fountains  
4 that were on manufacturers recall from ten or  
5 fifteen years ago that had never been recalled  
6 from the school, extraordinary lead levels, five  
7 thousand, ten thousand parts per billion coming  
8 out of faucets that children were using.

9 There's not enough money. The EPA, I  
10 understand, has given money to all the states,  
11 state health agencies generally, to launch  
12 programs to test at the tap. And one of the  
13 challenges is trying to figure out what that  
14 actually means at a local level. Then I'll -  
15 I'll leave that for now. It's a very complicated  
16 topic. Thanks.

17 **DR. SHENDELL:** Yeah. Claire, I would just  
18 add on top of that the challenge in recent years  
19 is we - let me just phrase this correctly.  
20 We've been trying to remind the facilities  
21 directors and the operation and maintenance staff  
22 of schools, who we call New Jersey designated  
23 persons, that when they reopen schools - because  
24 we were pretty strict here. I mean, they - most  
25 schools were shut down completely or most of the

1 time for at least spring, summer of 2020 if not a  
2 little longer.

3 So we had to make sure that they were almost  
4 recommissioning the buildings, regardless of age,  
5 correctly before even doing any kind of, you  
6 know, testing. Otherwise you could have, you  
7 know, the idea of, quote/unquote, false positives  
8 or just, you know, some data that would then say  
9 you'd have to retest anyway before you did  
10 anything which then costs more money and time.

11 So I agree with both of you and I think just  
12 what's happened in recent years has just further  
13 complicated that, especially if there was  
14 deferred maintenance already going on which means  
15 that some of those issues were known, as you  
16 said, but not dealt with for years. And then  
17 having water stagnant in pipes, hot or cold,  
18 depending on the conditions in the insulation,  
19 just made it more complicated.

20 **DR. RUCKART:** Thank you.

21 Paul, you have your hand raised.

22 **DR. ALLWOOD:** Yes, Perri. Thanks for  
23 recognizing me.

24 Yeah. This is really very informative and,  
25 you know, Dr. Shendell, I - as I was listening

1 to your presentation, I - in my mind, I felt  
2 myself thinking, well, yeah, I never thought of  
3 lead being brought into schools by the people who  
4 learn there and who work there.

5 And so, you know, I just wondered if maybe  
6 you - if you had any thoughts about - as you  
7 think about the - you know, the more widely  
8 known sources, like the plumbing and the paint  
9 and such, what type of contribution do you  
10 estimate that some of these - and I suppose  
11 it'll vary with localities but, you know, some of  
12 these other more, let me say, unique sources of  
13 lead, do you have a sense of what level of  
14 contribution that might be bringing to the  
15 overall exposure risk?

16 **DR. SHENDELL:** So as a scientist engineer, I  
17 have no idea of what number to give you because  
18 you'd want to do the - you know, you'd want to  
19 do the risk assessment, right? But I think the  
20 point I was trying to make, Paul, is that it's  
21 not - it's not negligible, it's not  
22 inconsequential. I do want to make clear that  
23 these things that Jeanne and Claire also  
24 commented on - the service lines and the taps  
25 and, you know, the plumbing - is definitely a

1 high priority issue. And that's assuming that  
2 the mains are going to be potentially handled on  
3 a large scales with these different federal  
4 dollars going to states and cities.

5 But to try to answer your question a little  
6 more - I'm not deflecting, I'm just trying to  
7 give the context where it's gonna be a small  
8 percent, but for some children and adults, it  
9 could be the big deal. So when Manthan did his  
10 dissertation, I'll just say I was shocked. I  
11 mean, I was shocked when I did prior work on  
12 phthalate levels in dust and homes of older  
13 adults which were basically five orders of  
14 magnitude.

15 Manthan also found, when we did the lab work  
16 with Jim Bogden and his staff at Newark Medical  
17 School, the levels in the sindoor from both the  
18 U.S. and India were several orders of magnitude  
19 range. And so I think that if you know there's a  
20 source - and I know Dr. Ohri has found high  
21 levels in the spices too - it's not just that  
22 you identify it. Then it's -- these products are  
23 used either frequently or periodically and in  
24 large amounts. If you're talking about spices in  
25 food or ^sindoor powder that can be, you know,

1 used at a holy festival in large quantities, you  
2 know, in a enclosed setting, whether that's at a  
3 school or a place of worship or outdoors doesn't  
4 matter, those acute or potentially intermittent  
5 chronic exposures can still high. So given that  
6 we know lead is not safe at any level, I don't  
7 have an exact answer for you. But I would say,  
8 simply put, it's not negligible and the goal of  
9 the risk communication or outreach is to remind  
10 folks that those are potentially preventable  
11 exposures because they're made -- they're  
12 alternative products in the marketplace where you  
13 can at least do a -- hopefully a better job of  
14 reading labels.

15 And, you know, one of the things I want to  
16 give Bill Halperin credit for is he really pushed  
17 hard for us to meet with the state and federal  
18 level FDA and even the border control folks at  
19 Newark Airport to try to get the word out about  
20 what this dissertation found. And I know  
21 Dr. Ohri's doing some great work reaching out in  
22 New York City.

23 So I think it's small, but it's not  
24 inconsequential when you consider that if you  
25 deal with all these other things related to water

1 and air for those populations, that is the  
2 identifiable source that may be relevant. So it  
3 does vary locally, but I think -- yeah, I don't  
4 want to repeat. It's a tough question to give  
5 you an exact number for, but I think all of these  
6 things are important. But for some populations,  
7 it might be the important source to address.

8 **DR. ALLWOOD:** I appreciate your --

9 **MS. KHAN:** This is -- oh, sorry. This is a  
10 five-minute time check. Thank you.

11 **DR. ALLWOOD:** Sure, Samer.

12 And I realize that it might be hard to, you  
13 know, exactly quantify the -- you know, the  
14 proportion of risk. But the point you made,  
15 which I -- you know, is resounding is that it is  
16 probably -- you know, it is avoidable. And  
17 perhaps, you know, being aware of it and having  
18 some commitment to addressing it would be one of  
19 those things that might be, you know, worthy of  
20 some further discussion. So thank you so much.

21 **DR. SHENDELL:** Yeah. It's the same with  
22 airport -- it's the same if you live in a  
23 community near a municipal airport where a lot of  
24 those piston aircraft are still running. Even if  
25 they're much smaller than a commercial airline

1 running on unleaded fuel, those communities have  
2 concern and valid concerns about, you know, the  
3 emissions from those planes if they live close to  
4 the flight path and those particles settle down  
5 with lead attached to them. So that's a similar  
6 scenario where even if you deal with all of the  
7 other things, for those communities basically  
8 next to the airports where those aircraft still  
9 operate, until that fuel is phased out and  
10 replaced, they have that -- that might be their  
11 concern too, over lead in water, for example.

12 **DR. RUCKART:** Okay. Thank you, Derek.

13 I want to read something that -- a comment  
14 that Jeanne Briskin put into chat and then I'll  
15 call on you, Jill. I see your hand raised.  
16 Jeanne wanted everybody to know that this  
17 afternoon Treda Grayson from EPA will address  
18 what EPA's done and money to support reducing  
19 lead in school and childcare as regarding  
20 drinking water.

21 And now I'll turn it over to you, Jill.

22 **DR. RYER-POWDER:** Yeah. Just a quick  
23 comment. So I've had a really hard time in the  
24 past trying to find data about acute or, you  
25 know, like a one-time or a two-time short-term



1 exposure, whether and how much that increases  
2 blood lead levels of lead, for how long those  
3 levels are increased, and do they cause adverse  
4 health effects?

5 So, you know, for instance, if a child is  
6 attending some kind of event, like say with -- I  
7 don't know -- something burning and lead gets in  
8 the air and they get exposed, does that raise the  
9 blood lead level? And if so, is there potential  
10 for adverse health effects? So I think there's a  
11 need for research in that area or if the research  
12 exists, I've had a really hard time finding it.

13 **DR. RUCKART:** Okay. Thank you, Jill. I'll  
14 just let you know that at my son's elementary  
15 school -- he's not in elementary school anymore,  
16 but they used to have multicultural nights. And  
17 I can see things like this happening there. And  
18 I'm sure that school continues to have the  
19 multicultural night.

20 So I don't see any other hands raised. We  
21 have just about a minute before we go to our  
22 public comment. So thank you, Derek --

23 **DR. ALTER:** Perri, can I just add one  
24 final --

25 **DR. RUCKART:** Yes. Yes, of course.

1           **DR. ALTER:** First, thank you, Derek, for  
2 that great information, all those resources. I  
3 think it's really important for us to remember to  
4 share this information in a very wide way. I  
5 think if you think about schools and how they  
6 operate, making sure that there is good  
7 understanding of the issue among not only  
8 administrators and facilities management but  
9 getting involved the other stakeholders, like  
10 parents and nutrition services. I think  
11 you're -- we're going to do a better job of  
12 garnering support and buy-in for putting policies  
13 into place to protect students and staff.

14           So it's just a case of sharing the message  
15 widely and -- yeah. Thank you.

16           **DR. RUCKART:** Well, thank you, Jeanne and  
17 Derek. Those were really great comments and  
18 presentations. We had an excellent discussion.

19           So now, Matt, if you would like to introduce  
20 the public commenter.

21 **PUBLIC COMMENT**

22           **MR. AMMON:** Yes. So today we have a public  
23 commenter. It's Dr. Diana Zuckerman who is the  
24 president of the National Center for Health  
25 Research. And hope, Dr. Zuckerman, you're ready

1 to go?

2 **DR. ZUCKERMAN:** Yes, I am. Can you hear me?

3 **MR. AMMON:** I can. Thank you.

4 **DR. ZUCKERMAN:** Okay, great. Thanks so much  
5 for the opportunity to speak to you today. This  
6 is a really interesting meeting, and I enjoyed  
7 this last speaker very much.

8 I'm going to be focusing in a different way.  
9 Let me just tell you a little bit about our  
10 center. The National Center for Health Research  
11 is a nonprofit research center in Washington DC.  
12 We're staffed by scientists, medical  
13 professionals, and public health experts. We  
14 conduct and explain research that can improve the  
15 health and safety of adults and children, and we  
16 do not accept funding from companies whose  
17 products we evaluate.

18 So you've heard a lot and of course this has  
19 been a major focus about lead, old sources of  
20 lead, old pipes that are still causing a great  
21 deal of harm, but I'm going to go in a different  
22 direction because although cleaning up those old  
23 sources of lead are extremely important, there's  
24 a certain irony because now schools and  
25 communities are spending a lot of money on what

1 ends up to be new sources of lead that can harm  
2 the health of children and adults. And what I'm  
3 going to be talking about is artificial turf and  
4 school playgrounds and -- well, actually,  
5 playgrounds of all types, for parks and so on.

6 So just for you to know about myself, my  
7 expertise is based on postdoctoral training in  
8 epidemiology and public health at Yale Medical  
9 School, also my previous policy positions in the  
10 U.S. House of Representatives and the U.S.  
11 Senate, a previous position at the U.S.  
12 Department of Health and Human Services, and as a  
13 faculty member and researcher at Harvard and  
14 Yale.

15 So I want -- I'm sorry I can't show you  
16 pictures but if you think about what playgrounds  
17 look like, children's playgrounds, whether  
18 they're at schools or at parks, a lot of them now  
19 have these very sort of spongy surfaces that are  
20 colorful, sometimes beautiful, and they feel  
21 like -- well, they are rubber and they feel like  
22 rubber. And a lot of us think of rubber as a  
23 natural product, coming from the rubber plant.  
24 But in fact most rubber, including the playground  
25 surfaces that are made of rubber, are made out of

1 a synthetic rubber and that is made from  
2 petroleum and it has a lot of other chemicals in  
3 it and it contains lead. And sometimes it  
4 contains arsenic and many other things, but today  
5 we're going to focus on lead.

6 So these very attractive playground  
7 materials have become very, very popular in  
8 Washington DC and Virginia and Maryland and  
9 California and New England and many other  
10 communities across the country. And it hasn't  
11 attracted attention in terms of the health risks.  
12 The little attention it has attracted has  
13 generally focused on endocrine disrupting  
14 chemicals which are very dangerous and can cause  
15 attention deficit disorders, can contribute to  
16 early puberty and obesity and asthma. But again  
17 the focus has not been on lead, it's been on  
18 these other chemicals. And today I want to talk  
19 about lead.

20 So the other thing is artificial turf fields  
21 which can be used by young children and actually  
22 K through 12, kids of all ages. And the turf  
23 fields are known to have what's called an infill  
24 made out of either virgin rubber or tire crumb or  
25 some other kind of infill, also known as crumb

1 rubber. Again, let me just say the artificial  
2 fields have those sort of a carpet of plastic  
3 grass, but it's this infill -- and the plastic  
4 grass itself has lead. But the infill is what  
5 keeps it down, these particles that keep it down,  
6 keep this carpet down so that it doesn't move.

7 And although there's been a lot of negative  
8 publicity about crumb rubber and so-called  
9 recycled tires, these materials, these crumb  
10 rubber, are still the most common in artificial  
11 turf fields across the country and is the key  
12 element of most of these rubber playground  
13 surfaces that are under slides and swings and  
14 climbing things that are on playgrounds across  
15 the country.

16 So I'll start with playgrounds. These  
17 materials are often called PIP which stands for  
18 poured in place. They look very attractive. And  
19 right under this solid surface of what looks like  
20 colorful rubber are pieces of crumb rubber or  
21 tire crumb underneath. And when children go down  
22 slides, eventually it wears down. And that  
23 rubber deteriorates, partly because of the  
24 weather but partly because of use and how kids  
25 use these playgrounds. And when the surface

1 deteriorates, what's underneath are little  
2 particles that look like licorice or candy and  
3 sometimes children eat them. And I can tell you  
4 from my experience that it's not -- they're not  
5 obviously rubber. They really do look like some  
6 kind of natural material or some kind of candy.  
7 They can be colorful or they can be black like  
8 licorice, and they do contain lead and sometimes  
9 children eat them.

10 And as you heard this morning, it isn't just  
11 whether they put them in their mouth. It's also  
12 that this material releases lead into the air, as  
13 you heard earlier. And that's why both the  
14 artificial turf fields and these playground  
15 surfaces are particularly dangerous. It isn't  
16 dependent on eating the lead, it's in the air as  
17 well.

18 Many companies that sell these products say  
19 that they have passed all safety tests, and  
20 that's true. But that's because there are  
21 basically no safety tests for these materials.  
22 They don't have the kind of required safety tests  
23 that you would have for other things, and they  
24 don't make sure that these materials are safe for  
25 children or adults. And so the U.S. government

1 does restrict lead, as we all know, and it  
2 restricts some of these other -- you know,  
3 phthalates and other chemicals from children's  
4 products, but it hasn't been restricted from  
5 either playground surfaces or artificial turf  
6 because the companies initially claimed that  
7 these were not children's products, even though,  
8 of course, these playgrounds with swings and  
9 slides and so on are used by young children and  
10 that the fields, of course, are use by children  
11 of all ages.

12           Some of the playgrounds and turf fields  
13 around the Washington DC area and probably in  
14 other communities as well have signs. And I'll  
15 just read a sign that's been pretty ubiquitous in  
16 the Washington DC area. It says, Warning. Do  
17 not eat infill mix in artificial turf as it may  
18 be harmful to your health. And this is in  
19 English and Spanish and it's in very large  
20 letters at different parks and fields. And, of  
21 course, one of the problems is that the children  
22 who eat infill probably are not able to read any  
23 of these warnings.

24           **MS. KHAN:** This is a five-minute time check.  
25 Thank you.



1           **DR. ZUCKERMAN:** Sure. That's fine, thanks.

2           Also this infill that's in artificial turf  
3 fields when it rains does go into water supplies  
4 and does go into all kinds of sources of exposure  
5 in the community.

6           So just to say a few words about the lead  
7 tests that have been done on the playground  
8 material surfaces, the tire crumb is  
9 heterogeneous. You can't just look at averages.  
10 Some pieces have no lead at all and some pieces  
11 have dangerous levels of lead. And so depending  
12 on what the children eat, they might be exposed  
13 or not exposed, but as we've said, it's in the  
14 air as well.

15           You might want to know what alternatives are  
16 for playground surfaces. Something called  
17 engineered wood fiber has none of these chemicals  
18 and does not have lead and it doesn't cost any  
19 more. It actually costs less. One of the  
20 ironies is that we worry a lot about lead  
21 exposure and how to clean it up and how expensive  
22 it is, but artificial fields cost millions of  
23 dollars and communities are spending that money  
24 thinking that it's worth it for them and not  
25 being aware of the lead.

1           So also just want to mention that it's come  
2 to our attention that sometimes there's also lead  
3 paint on the equipment on these playgrounds. And  
4 apparently, despite all of the restrictions on  
5 lead paint, they seem to be mostly focused on  
6 indoors and not playground equipment outdoors.

7           I just want to finish up by saying I  
8 recently spoke with the chairman of the Consumer  
9 Product Safety Commission on this issue. I've  
10 testified at communities across the country about  
11 this topic and as usually asked, why isn't it  
12 already banned? And as I said, it has been  
13 excluded from bans on lead or endocrine  
14 disrupting chemicals in children's products  
15 because these fields and playground surfaces  
16 haven't been categorized as children's products.  
17 And that's -- you know, should be changed. But I  
18 just wanted you to be aware of these issues  
19 because this is a new source of lead  
20 contamination and exposure that communities and  
21 schools are paying millions of dollars for and  
22 are not aware of the lead risks.

23           Thanks very much for the opportunity to  
24 speak today. And I'd be glad to provide any  
25 additional information or answer any questions.

1           **MR. AMMON:** Thank you, Dr. Zuckerman, for  
2 that very informative presentation and your time  
3 today.

4           We are now at the point of the agenda, about  
5 two minutes early, to break for lunch. We are  
6 convening back at 12:45. So with that, why don't  
7 we go ahead and break for lunch.

8           **DR. ALLWOOD:** Matt, before you go to the  
9 break -- I'm sorry -- I just wanted to also echo  
10 your words of gratitude to Dr. Zuckerman for that  
11 very informative presentation and to ask her if  
12 she would be willing to share, you know, any  
13 additional materials that she had, any slides, or  
14 pictures that would help to -- help the committee  
15 members get a -- you know, a little bit more of a  
16 comprehensive grasp of some of the things that  
17 she talked about.

18           **DR. ZUCKERMAN:** Thank you very much for that  
19 question. And, yes, of course I would be very  
20 happy to share slides. I think sometimes a  
21 picture's worth a thousand words. You really get  
22 to see what this looks like and how tempting it  
23 can be to young children because of the way it  
24 looks. And also in the future would be happy to  
25 answer any questions that arise. So thank you.

1           **MR. AMMON:** Well, thank you for -- in  
2 advance for providing the information.

3           So now we will go ahead and break for lunch  
4 and we will reconvene at 12:45. See you soon.

5           (Break from 12:15 to 12:45)

6           **MR. AMMON:** So just before we go into the  
7 next presentation, I just want to reach out to  
8 Perri or Paul to see if there's any announcements  
9 before we hear the next presentation.

10          **DR. RUCKART:** None from me.

11          **DR. ALLWOOD:** None from me. None from me,  
12 Matt.

13          **MR. AMMON:** Great.

14          **DR. RUCKART:** Me either.

15          **MR. AMMON:** Great, great.

16           So it's my pleasure to announce our next  
17 presentation is from the National Association of  
18 School Nurses, Donna Mazyck. She's the executive  
19 director of the National Association of School  
20 Nurses.

21           So, Donna, if you are ready to go, the floor  
22 is yours.

23           **NATIONAL ASSOCIATION OF SCHOOL NURSES**

24           **PRESENTATION AND Q&A**

25          **MS. MAZYCK:** I'm ready. Thank you so much.

1 It is a pleasure to be here to share with  
2 everyone today. I have learned so much already,  
3 and I hope to present some information that will  
4 be helpful. One -- after this morning's session,  
5 I think one thought in my mind is how much we can  
6 do together. And we're from all different areas  
7 of business and life and health and education and  
8 what we do together makes a difference for  
9 children and those who are taking care of them.

10 So I'd like to tell you little bit about the  
11 National Association of School Nurses. The  
12 National Association of School Nurses is a  
13 501(c)(3). It's a professional association for  
14 school nurses, and it's an organization that's  
15 over fifty years old. And we -- our mission is  
16 to make sure that students are healthy, safe, and  
17 ready to learn. And today's topic is very much  
18 in that realm.

19 I will let you know that I myself have had  
20 various levels of working with students and  
21 families. I've been a school nurse. I've been a  
22 state school nurse consultant at a state  
23 department of education prior to coming to this  
24 national level. So I have a lens on the  
25 national, state, and local levels that informs

1 the work that I've done.

2 Next slide, please.

3 It's been mentioned earlier today, and I  
4 think there'll be quite a bit of overlap as we  
5 continue to meet together. I want to mention the  
6 CDC ASCD Whole School, Whole Community, Whole  
7 Child Model because it's so applicable to the  
8 focus that today's meeting and this convening is  
9 shedding light on. This student-centered  
10 approach for students to be healthy, safe,  
11 engaged, supported, and challenged as they're in  
12 their school and to have the policies and the  
13 practices that surround them to keep them  
14 healthy, safe, engaged, supported, and challenged  
15 is the focus of the work that not only school  
16 nurses do but these ten components plus the  
17 community does to make sure the students are able  
18 to access their learning. This model, this Whole  
19 School, Whole Community, Whole Child Model,  
20 features the collaboration between health and  
21 education because we know that healthy children  
22 learn better.

23 And within these ten components of physical  
24 education and physical activity; nutrition  
25 environment and services; health education;

1 social and emotional climate; physical  
2 environment; health services; counseling,  
3 psychological and social services; employee  
4 wellness; community involvement; and family  
5 engagement as we interlock and we work together,  
6 we will make sure that those students are able to  
7 receive their education.

8 Second -- next slide, please.

9 Excuse me. Now, when I showed you the Whole  
10 School, Whole Community, Whole Child Model, one  
11 of the components is school health services. And  
12 if you were to put a magnifying glass -- excuse  
13 me. If you were to put a magnifying glass over  
14 school health services on the Whole School, Whole  
15 Community, and Whole Child Model, you would  
16 see -- part of what you would see is what the  
17 National Association of School Nurses calls the  
18 framework for 21st-century school nursing  
19 practice.

20 And this framework features five key  
21 principles that we believe are part of how --  
22 form the foundation for how school nurses work:  
23 care coordination, leadership, quality  
24 improvement, standards of practice, and  
25 community/public health. And that's the area

1 I'll focus on for today's presentation. We  
2 understand that school nurses are a critical hub  
3 for students. School nurses are managing complex  
4 chronic conditions that students have, addressing  
5 mental health issues, leveling the field on  
6 health inequities. And school nurses are a  
7 central public health resource in that school  
8 community.

9 Next slide.

10 And who are the school nurses in schools  
11 for? Just a quick snapshot of public school  
12 students. These data come from the National  
13 Center for Education Statistics. And we know  
14 that the past two and a half years have been very  
15 challenging in terms of capturing who's in  
16 school. But in fall 2021, 49.5 million students  
17 were enrolled in pre-K through 12th grade public  
18 schools. And of those schools, 1.4 million  
19 attended prekindergarten, 3.6 million attended  
20 kindergarten. We know that across the lifespan,  
21 as has been shared multiple times today, that  
22 there is no amount of lead that's safe in a  
23 human's body. And so all the students, pre-K  
24 through 12, and those even in ungraded programs  
25 are vulnerable and need the eyes and ears of



1 public health to be sure that they are in --  
2 learning in environments that are safe, living in  
3 environments that are safe and healthy for them.  
4 And we also know that students who are part of  
5 immigrant and refugee families are also  
6 vulnerable in terms of the environment that  
7 they're living in and how that impacts their  
8 bodies.

9 Next slide.

10 Focusing on the social determinants of  
11 health, we know that those social determinants,  
12 those social influencers of health and education  
13 impact how a student is well and how a student  
14 learns. And I listed a few of those social  
15 determinants here and there are many more.  
16 School nurses are advocates for students and  
17 they're clinical health experts in schools.

18 So school nurses play a role in addressing  
19 social determinants of health by connecting with  
20 families and with others, community agencies, and  
21 professionals and community members in order to  
22 shed light on those social determinants and get  
23 to the root issue of making sure students are  
24 well.

25 And how does that apply to lead, the topic

1 of today? School nurses know that if students  
2 are living in homes with chipping and lead-based  
3 paint that are common in homes built before 1978,  
4 that they would have an increased possibility of  
5 having elevated blood lead levels. However, we  
6 did hear today and we know to be true that it's  
7 that chronic exposure to lead that is impacting  
8 some children and hearing from the speaker who  
9 spoke during public comment about some of the  
10 issues that are placing children at risk in  
11 schools, from what the schoolyard surface is made  
12 of and what's happening in the environment in the  
13 building. We also heard earlier today that the  
14 personal care products that students may even  
15 bring to school can impact students. So this  
16 issue is a concern across the continuum of lead.

17 I want to give you just a brief -- this is  
18 really a story that is not -- it's a compilation  
19 of what happens in schools and with school nurses  
20 in regards to lead. This is a story that is  
21 captured in one of the articles in my references  
22 and you can check it out. But long story short,  
23 a school nurse received a message from a teacher  
24 that a five-year-old was having a variety of  
25 symptoms in the school setting. That student

1 complained of headaches. The student was lacking  
2 interest in playing with his peers and he was  
3 frequently irritable as the teacher reported and  
4 distant during story time. He'd also been  
5 inattentive.

6 Now, that could mean a variety of things,  
7 but the school nurse did an assessment and paid  
8 attention to how that student's body was and  
9 discovered that, you know, because the student  
10 was so restless and struggled to follow simple  
11 instructions, that it would be very helpful for  
12 the student (sic) to connect with that child's  
13 mother and just find out what's going on. And  
14 that's what the nurse did, found out from the  
15 mother that the child had not been eating well  
16 and frequently complained of stomach pain.

17 So based on the teacher's recommendations  
18 and what the mother had said, the school nurse  
19 referred this mother to medical care, just to  
20 follow-up and to have a deeper and further  
21 evaluation. And because we know that lead  
22 toxicity can cause central nervous system damage  
23 and can affect cognitive -- children cognitively  
24 and otherwise, it was important for this child to  
25 be assessed and to learn what was going on.

1           And in the meantime, the nurse, knowing the  
2           impact that the environment could have, happened  
3           to ask the parent about possible sources of lead  
4           and learned that this child had received some  
5           second-hand painted toys a few months prior. So  
6           lab tests came back and showed that this child  
7           had a blood lead level of 70 micrograms per  
8           deciliter, very much a concern. Of course, any  
9           amount is a concern, but this was a diagnostic  
10          key for lead toxicity. Treatment was given to  
11          this child and follow-up was made.

12           And I tell you this story because I just  
13          want to just mention in all of the work that we  
14          do, when we center the child and that individual  
15          wherever they are, then we're able to track back  
16          and work and collaborate and use our best  
17          detective skills to make sure that we are not  
18          leaving students vulnerable but we're taking the  
19          next step to find out what's going on with them  
20          and not just in the building but where they live,  
21          where they play, where they eat, where they  
22          worship. Wherever they are, we want those  
23          environments to be safe.

24           And to that end, the National Association of  
25          School Nurses has a position statement on

1 environmental health. And exposures from  
2 chemicals such as lead, along with other  
3 environmental factors, are what we are advocating  
4 that they would be addressed, that they would be  
5 cleared and eliminated from environments to  
6 protect students wherever they are.

7 Next slide, please.

8 So I mentioned that school nurses  
9 collaborate with students and with families. The  
10 work that we do can't be done alone. And these  
11 collaborations are so important for keeping  
12 environments safe for children, especially  
13 related to lead. So communications with --  
14 communication with families is a strength that  
15 school nurses have in lead prevention work  
16 whether partnering with the -- whatever the  
17 national -- or the local parent-teacher groups  
18 are, students groups, for general awareness or to  
19 make sure that families know that screening and  
20 referrals are necessary to detect whether there  
21 is blood lead levels.

22 And much like immunizations, what school  
23 nurses do in terms of the awareness with  
24 immunizations and vaccine confidence, school  
25 nurses work with others to be able to inform

1 families and make sure that they're aware of the  
2 lead exposure that could be in their homes, in  
3 their environments, in their community, and in  
4 the school as well.

5 School nurses provide awareness to families  
6 of the health and learning impacts of chronic  
7 low-level exposure to lead. And this is so  
8 important and we've heard this earlier today.  
9 It's not simply the -- the high -- the lead  
10 reference levels but it's lead as a whole should  
11 not be in the body and should not be in  
12 exposed -- children should not be exposed to it.

13 So school nurses collaborate. This year --  
14 we have often provided messaging, but this year  
15 in particular we collaborated with this committee  
16 and with the CDC to make sure school nurses were  
17 getting the messages that they could share with  
18 families and with students and in school  
19 communities about lead prevention and will  
20 continue to do those collaborative communication  
21 pieces with partners.

22 We know that not all states require the same  
23 lead reference level. We heard reference to that  
24 earlier today. And so advocating for that to  
25 happen is really important. The awareness, the

1 communication, the collaboration with students  
2 and families is key part.

3 In the next slide, talking more about that  
4 collaboration, the collaboration that happens  
5 with community agencies, with providers, with  
6 leaders in communities, school nurses can help in  
7 several ways but most importantly with the  
8 surveillance of screening results. It's  
9 important that those screening results are used  
10 to help students get the care that they need.  
11 And this is really critical with those who are at  
12 higher risk, students who are at -- in higher  
13 risk for exposure and those with great mobility.  
14 We know that in some areas the mobility rate for  
15 students and their families is high. And so  
16 making sure that families are aware that even if  
17 they've gotten screening completed, that there's  
18 follow-up to be sure that they are treated if  
19 necessary.

20 Integrating screening results into databases  
21 so that they can be accessed in a way to  
22 incorporate surveillance of screening for every  
23 school-age child is something that the National  
24 Association of School Nurses believes will help  
25 us not allow students to slip through the cracks.

1 School nurses can then, knowing -- having this  
2 surveillance of screening, school nurses can then  
3 refer for screening or a follow-up referring  
4 students for medical care, medical treatment,  
5 following up with health departments, or even  
6 educationally if students need assistance in  
7 their learning because of exposure to lead.

8 We, at the National Association of School  
9 Nurses, look to the CDC for tools and resources  
10 that we can use in schools and with families and  
11 with other community-based organizations and  
12 providers so that we can connect the children to  
13 what they need. In schools very often there are  
14 ways that when children have challenges with  
15 learning, multitiered systems of supports are  
16 available so that students won't fall through the  
17 cracks. And when there are school nurses who are  
18 paying attention to screening results and  
19 especially for students who are moving around  
20 with their families and not in the same school  
21 district or the same state, they can be followed  
22 up with. And if there are any educational  
23 impacts to exposure to lead, school nurses help  
24 raise that question and raise those issues in  
25 school settings.



1           School nurses play a critical role in the  
2           interprofessional teams that monitor and evaluate  
3           students who have blood lead levels that are  
4           concerning. And a lead screening result can be  
5           as imperative in our minds as vision and hearing  
6           screening results when addressing the needs of  
7           the whole child.

8           Next slide, please.

9           So what do school nurses do? What can  
10          school nurses do to make a positive difference  
11          for students? We know that children and families  
12          are in communities and schools are part of  
13          communities. We know that the social  
14          determinants influence children's health and  
15          learning.

16          And so just would like to open up -- I know  
17          this is prior to the Q&A time that may have been  
18          set aside, but I think with the amount of energy  
19          and passion and advocacy for lead prevention in  
20          children and adolescents, I'd like to just pose  
21          and open up conversation among the panelists to  
22          talk about some of the things I brought up.

23          And I'll just list some of these. What are  
24          your thoughts about including blood level  
25          surveillance by school nurses as Medicaid

1 eligible services in schools? What are your  
2 thoughts about school nurses having access to  
3 blood lead level screening results? And what  
4 about surveillance requirements that are similar  
5 to immunizations? School nurses make a  
6 difference with public health interventions and  
7 prevention in schools through what we do with  
8 immunizations and making sure that students are  
9 immunized properly and knowing who isn't. What  
10 would that look like if we were trying to track  
11 and make sure that students exposed to lead have  
12 the services, the treatment, the assistance that  
13 they need so that they are healthy and safe and  
14 ready to learn?

15 I'll hold it there and then I'll see if  
16 there are any comments in this direction.

17 **MR. AMMON:** Hi, Donna. This is Matt. I'll  
18 actually open it up and then I have a question  
19 that we can walk through some of the questions  
20 you had posed to the group.

21 **MS. MAZYCK:** Okay.

22 **MR. AMMON:** First, you know, I do like that  
23 we continue to broaden our thought around, you  
24 know, the concept of, you know, health in all  
25 places, right? It's important that we think

1 about that and especially for children, you know,  
2 both in the home setting and the school setting  
3 and in as many settings as we can. And that is  
4 just a general framing in terms of, you know,  
5 just rethinking of the traditional connections  
6 that we make around health and broadening it out  
7 again to the places where kids spend their most  
8 time.

9 And as part of that -- and you mentioned it,  
10 that, you know, the partnerships that you have  
11 suggested and that are really making a  
12 difference, I think, are critical. And the  
13 reason why I say that is that I -- you know,  
14 we've always seen really school nurses on really  
15 the front line of a --

16 **MS. MAZYCK:** (indiscernible).

17 **MR. AMMON:** -- in identifying, you know,  
18 certainly in the cases of children, you know,  
19 around the issue of health in general. I know  
20 that's a -- you know, a broad topic but really,  
21 you know, the identification of issues and then  
22 that communication and then follow-up and really  
23 that surveillance piece, I think, is key from my  
24 personal experience as a parent.

25 You know, I had two kids who had severe

1 peanut allergies, and, you know, we always saw  
2 school nurses as an integral part of, you know,  
3 this holistic response to, you know, childhood  
4 diseases and injuries, to prevention and caring.  
5 And I will say that, you know, child nurses  
6 actually saved my child's life when he decided to  
7 eat something he didn't know had peanuts in it.

8 And so we have always been -- we've always  
9 seen school nurses and been very -- you know,  
10 very vocal about the need to have school nurses  
11 be an active part of, you know, both on the  
12 surveillance side and then any quick action that  
13 needs to happen. And I think that's been, you  
14 know, an important part of thinking in many  
15 parents' lives. You know, the role of school and  
16 in the broader role that we see in school nurses.

17 My question is related to you had talked  
18 about surveillance and data. You know, how have  
19 your partnerships been with actual physicians,  
20 you know, where for -- in my case, you know, our  
21 physician actually had a conversation with the  
22 school nurse to go over, you know, certain  
23 things, not only expectations but also certain  
24 trigger things that we saw.

25 **MS. MAZYCK:** Yes.

1           **MR. AMMON:** But in your experience, what  
2 have you seen, you know, that has played out or  
3 the role of school nurses actually working with  
4 physicians?

5           **MS. MAZYCK:** Uh-huh. Thank you so much for  
6 what you shared and that emphasis on making sure  
7 that children are healthy and safe no matter what  
8 setting they're in. That is so critical and I'm  
9 glad to hear that your children benefited from  
10 that awareness and surveillance and action.

11           In terms of school nurses and primary health  
12 care providers, the connection is absolutely  
13 critical. And school nurses know that they have  
14 a part to play in helping students and doing that  
15 surveillance and making those plans to keep them  
16 safe and taking action. But it's not in a  
17 vacuum.

18           So when we take the child-centered approach,  
19 we understand that connecting with a healthcare  
20 provider in the community is absolutely  
21 essential. And that's how school nurses focus  
22 their work. And it's bidirectional because that  
23 student is cared for not only by a nurse -- that  
24 may be, you know, five days a week, a hundred  
25 eighty days a school year -- and that student is

1 also cared for and seen by that primary  
2 healthcare provider and in some cases a  
3 specialist who is taking care of students.

4 So that communication is vital. There's  
5 work that we do on the national level with the  
6 American Academy of Pediatrics to make sure that  
7 we're keeping that connection from that national  
8 level and then sending that message and modeling  
9 it for the state level and also for those local  
10 levels when school nurses are doing one-to-one  
11 communication with physicians and other  
12 healthcare providers. Absolutely essential.  
13 It's what we encourage; it's what we model. It's  
14 what is -- works best for students and their  
15 families.

16 **MR. AMMON:** Thanks. And following up with  
17 that, you mentioned AAP. Now, I've been to a  
18 bunch of their conferences and things of that  
19 nature. Is there, you know, a carveout that you  
20 have seen or maybe not seen either an appropriate  
21 level of training or, you know, more of a focus  
22 on lead as part of what, you know, AAP does, you  
23 know, in -- related to lead in schools? I mean,  
24 I know that in general the focus on lead but  
25 specifically lead in schools.

1           **MS. MAZYCK:** Yes, indeed. So the National  
2 Association of School Nurses is a liaison on the  
3 AAP Council on School Health and very much so  
4 that lead in schools is a focus and a concern and  
5 is an item that comes up in that group. I can  
6 remember most recently that the concerns about  
7 lead and water -- we heard the presentation  
8 earlier about the depth of that issue -- that is  
9 of concern on the Council of School Health and I  
10 believe in other sections of the AAP as well.

11           **MR. AMMON:** That's good to hear. It's good  
12 to hear. You know, we have worked with them at  
13 HUD directly on a number of issues, and it was  
14 related to screening in general, not particularly  
15 in schools, and also other issues such as  
16 smoke-free and things of that nature. But those  
17 partnerships I think that you highlighted, again,  
18 I think are very powerful not only, you know, at  
19 the local level but other partners who are at a  
20 national level, like AAP, to really help drive  
21 not only awareness but also giving you the tools  
22 and others the tools to really, you know, really  
23 help make sure that these issues can be  
24 identified and appropriately followed up and then  
25 there's just some level of care, if you will --

1           **MS. MAZYCK:** Yeah.

2           **MR. AMMON:** -- that is a continuum and that  
3 it continues to grow because, if anything, I've  
4 seen the issues of lead in schools get bigger  
5 rather than a smaller focus and --

6           **MS. MAZYCK:** That is so true.

7           **MR. AMMON:** Yeah. And I don't know if  
8 you've seen the same thing or want to respond to  
9 that, but just from our perspective, I've seen  
10 that as well.

11           **MS. MAZYCK:** Yes, we have seen it. As a  
12 matter of fact, it probably needs to grow more.  
13 I appreciate the language on the continuum and  
14 continuing to grow that you just shared, Matt.

15           What we know is that with students along the  
16 continuum -- so I get the question -- well, the  
17 screening takes place when a child is one or two  
18 years old. And that is ideal, but it's not by  
19 any means the end of the story as we continue to  
20 talk about chronic exposure to lead. And that is  
21 a concern.

22           Additionally, we're finding that students  
23 who come to schools from another country, either  
24 students who are in a refugee status or immigrant  
25 status, that they're -- depending on what they've



1 lived in, the environment they've been in, and  
2 where they are here, they need to have that level  
3 of surveillance and the concern. And there's  
4 still the concern for everyone in the  
5 environment, adults and children in a school  
6 building, to make sure that the exposure to lead  
7 is eliminated.

8 And as we've heard, again, earlier, many of  
9 our schools in this nation are old and have  
10 problems. And then for the new problems, as  
11 we've heard in terms of the materials that are  
12 being used in schools and on school property,  
13 lead is a concern because the environment is a  
14 concern right now. And so whatever environmental  
15 justice eye needs to be on keeping students in  
16 the school community safe, you will see that  
17 growing in areas around the nation.

18 **MR. AMMON:** Well, again thanks. I think  
19 that is very helpful.

20 We have two commenters or questioners. I  
21 don't know the order, so I apologize. I'm going  
22 to go in the order on the screen. So I'll start  
23 with Dr. Mielke.

24 **DR. MIELKE:** Yes, thank you for the  
25 presentation. What are the barriers that you

1 have in getting a lead test for a child? You  
2 gave an example of a boy who had all sorts of  
3 symptoms indicating there might be a lead  
4 problem. But there must've been quite a bit of  
5 time between when you observed these symptoms to  
6 when there was a blood test that would've given a  
7 lot of information about what steps needed to be  
8 done to reduce exposure. What are the barriers  
9 that you have?

10 **MS. MAZYCK:** That's a good question,  
11 Dr.Mielke. And I think one of the biggest  
12 barriers is coordinated communication and  
13 awareness of what problems could exist. And in  
14 this case, it wasn't one that I witnessed  
15 specifically, but it took time for the teacher to  
16 notice what the symptoms were that seemed to be  
17 troubling. And then when the school nurse got  
18 that information after doing an assessment,  
19 needed to get information from the parent and did  
20 that. I think the barrier immediately is not  
21 everyone understands where lead may be in their  
22 world and what the danger is.

23 So I believe beginning with awareness and  
24 making sure that families, students, staff,  
25 community members understand that lead exposure

1 is not a past event or a past issue, but it is  
2 currently a concern. So making sure that that is  
3 done.

4 In terms of getting the blood lead level,  
5 that really wasn't a problem once we walked  
6 through -- once the issue became, like, we need  
7 to determine if lead is an issue. Let's have an  
8 evaluation. That was not a difficult thing to  
9 do. It was not a barrier. But getting to that  
10 point, the lack of awareness and the lack of  
11 information that was connected and coordinated  
12 was part of the challenge.

13 **MR. AMMON:** All right. Thank you for that  
14 response.

15 Thank you for the question, Dr. Mielke.

16 Next we have Erika Marquez.

17 **DR. MARQUEZ:** Hi, Donna. Thank you so much  
18 for this presentation. I agree that partnerships  
19 are absolutely critical. And I think you've  
20 highlighted, you know, a huge gap that I think in  
21 some of our states I -- we haven't -- certainly  
22 in Nevada haven't tapped into our school nurses  
23 enough in our conversation about lead testing,  
24 screenings, or (indiscernible). And it sounds  
25 like possibly even some bridging some case

1 management, being part of that case management  
2 discussion when kids' families are harder to  
3 locate or follow up with. You guys seem to be a  
4 very natural fit to help us kind of fill some of  
5 those gaps.

6 So I'm interested to see how this kind of --  
7 this conversation continues to play out in terms  
8 of the integration of the school nurses with the  
9 lead poisoning prevention surveillance branches  
10 across the United States.

11 One question I do have, though, is regarding  
12 kind of the messaging. You talked about  
13 messaging that came -- that you've gotten from  
14 CDC to help support getting information to your  
15 nurses. I wonder how can we connect on a state  
16 level with the nurses association to help tailor  
17 some of that messaging while -- you know, for the  
18 most part it's going to be pretty similar, but we  
19 know in some states where screening rates are  
20 no -- are lower or we know there's this  
21 misconception that just lead isn't a problem  
22 anymore. How do we connect with our -- on a  
23 state level with the nursing association to help  
24 tailor some of that messaging that's coming to  
25 states that maybe need a -- additional messaging?

1           **MS. MAZYCK:** I appreciate everything you've  
2 shared, Erika, and I appreciate the need to just  
3 fine-tune messaging according to who you're  
4 working with and where you are.

5           And so one thing that I did not hear earlier  
6 that I'll share now is that the National  
7 Association of School Nurses has affiliates in 48  
8 states. We're in every -- have affiliates in  
9 every state but Hawaii and North Dakota. And we  
10 also have a school nurse affiliate in Washington  
11 DC as well as an overseas school nurse group.  
12 And so we have communication with each of those  
13 groups, Erika, and would be very willing to make  
14 sure that we make connections with state to -- on  
15 a state level partnerships because we do believe  
16 that's closer to where the work happens and it's  
17 closer to where the collaborations matter.

18           And so I would love to provide that to you  
19 specifically because you asked and also because  
20 we have an intracommunication community of every  
21 one of those affiliate groups. And we can get  
22 messages to them and we can also find out who  
23 their connections are on a state level and make  
24 the warm connection.

25           **DR. MARQUEZ:** I appreciate that. Thank you,

1 Donna.

2 **MS. MAZYCK:** It's on my to-do list. Thank  
3 you.

4 **MR. AMMON:** All right. Thank you for the  
5 question.

6 Next up, Dr. Allwood.

7 **DR. ALLWOOD:** Thank you, Matt.

8 And thank you, Donna. I really appreciate  
9 hearing your, you know, very wise words. And,  
10 you know, we were really happy when you agreed to  
11 be part of this panel because, you know, we knew  
12 that you had some very, very important messages  
13 to give and you've done that very, very well.

14 You know, that case you mentioned of that  
15 young child with the blood lead level of  
16 70 micrograms, you know, is, I think, a good  
17 example of tremendous benefit that school nurses,  
18 you know, and classroom teachers could bring, you  
19 know, in this fight against this very serious  
20 problem. Is there any -- are there formal  
21 training opportunities for classroom teachers and  
22 school nurses, you know, on lead poisoning  
23 prevention and also for, you know, identifying  
24 the science? Because I think in that case it  
25 was -- you know, so could you share a little bit

1 on that?

2 **MS. MAZYCK:** That's a very good question,  
3 Dr. Allwood. And nationally there is none. I  
4 don't -- I can't speak for what's happening on  
5 the state level in that regard, but I think it's  
6 a gap that you identified that would be one that  
7 NASN would be willing to step into to even --  
8 even if we began with a webinar, that increasing  
9 the awareness is so important because people  
10 don't know what they need to know. And so being  
11 able to provide that is another avenue of  
12 addressing the issue of lead prevention and  
13 reaching the goal of eliminating that lead  
14 exposure. So I'm putting us out there as willing  
15 to connect and collaborate with your team to see  
16 what we can do about that education.

17 **DR. ALLWOOD:** Thank you.

18 **MS. MAZYCK:** Thank you.

19 **MR. AMMON:** Next question from Dr. Graber.

20 **DR. GRABER:** Hi, Donna. Thank you very much  
21 for that excellent presentation. As a  
22 pediatrician, I'm very aware of the importance of  
23 the relationship that I have with the school  
24 nurses who also take care of my patients. And my  
25 question relates to a question you asked at the

1 end of your presentation when you were  
2 encouraging us to start this discussion. And  
3 that is the thoughts about access to blood lead  
4 level data on the children that are in the  
5 schools that the school nurses take care of.

6 So, you know, I know in medicine just in  
7 general, we're doing more and more to make sure  
8 that information about our patients are  
9 accessible to all of the healthcare providers who  
10 are caring for those patients. And that's  
11 through electronic health records that  
12 communicate with each other or health information  
13 exchanges or, in the case of immunizations, the  
14 immunization registries. And I guess my question  
15 for you is, you know, what are some of the  
16 barriers that you're facing when it comes to  
17 asking for those -- access to those data and  
18 obtaining access to that data?

19 **MS. MAZYCK:** Thank you, Dr. Graber. And  
20 thank you for what you do in partnering because  
21 you do center your patients in what they need and  
22 that's part of the collaboration that's needed to  
23 keep them healthy and safe no matter where they  
24 are.

25 In terms of access to data, I will speak



1 right now about the immunization information  
2 systems across states. And just recently we  
3 did -- there was a question in this online  
4 community from all of the leaders of these school  
5 nurse groups across the country. And not all  
6 school nurses have access to those immunization  
7 registries. And so that's part of a barrier.  
8 That's one part.

9 Another barrier is that it's very  
10 challenging for bidirectional data-sharing,  
11 appropriate data-sharing, we found related to  
12 school-based information and private provider  
13 information. I know Nemours has done some work  
14 to try to address that barrier with bidirectional  
15 communication on the appropriate data to share to  
16 take care of students.

17 So it's a problem. Schools and healthcare  
18 have different privacy laws. In schools it's  
19 FERPA. In healthcare it's HIPAA. And even when  
20 we're sharing information for care or for  
21 treatment, there still needs to be the family  
22 giving ^ to that and sometimes that can be  
23 challenging and not understood.

24 So there are lists of barriers there. And I  
25 believe the time is ripe and now -- right now to

1 look at what we can address. And it may not  
2 happen on a large scale, but as we see  
3 projects -- I've heard of some projects in  
4 Wisconsin and I mentioned the Nemours project.  
5 That was in Delaware. I'm hearing that there are  
6 opportunities that are happening on a small scale  
7 to see what barriers can be reduced so that the  
8 essential information that needs to be shared for  
9 the health and well-being of students, we're able  
10 to do that. And that's part of the challenge  
11 that we're looking at with surveillance of blood  
12 lead levels.

13 **MS. KHAN:** This is a three-minute time  
14 check. Thank you.

15 **MR. AMMON:** We have one question that has  
16 been posted in the chat. What is the recommended  
17 ratio of students to school nurses? How many  
18 states do that? And this is from Claire Barnett.

19 **MS. MAZYCK:** Thank you, Claire, another  
20 partner in the work for environmental health. We  
21 actually believe that a ratio is part of what we  
22 look at when we look at safe staffing for student  
23 health services. What the ratio is really is  
24 important to -- beyond the ratio, I will say.  
25 It's important to know who is in the student body

1 and what the health needs are. It's important to  
2 know the social determinants of health that are  
3 influencing that community's health and  
4 education.

5 And so taking all of that into -- into a  
6 formula, if you will, along with the acuity level  
7 of students, you know, what are -- what is the  
8 percentage of students who have type 1 diabetes,  
9 for example, which we know is a chronic health  
10 condition that can take an intensive amount of  
11 time for care?

12 So ratios, there are no -- there are no  
13 national ratios. There are state by -- some  
14 states have ratios. Some of them work, some of  
15 them don't. I think you have to include the full  
16 orb of what is needed to provide safe -- a safe  
17 environment, a healthy environment for those  
18 students to learn, and then from that  
19 data-crunching determine what level of nursing  
20 services are needed. Doesn't answer fully, but  
21 they're is a -- NASN has a position statement on  
22 that, on school nurse workload and safe staffing  
23 for schools that I can make available. It's on  
24 our website.

25 **MR. AMMON:** And just --

1           **MS. BARNETT:** All right. Thank you so much.  
2           That's exactly what I wanted to hear. I know  
3           that. Thanks.

4           **MS. MAZYCK:** Yeah. Thank you.

5           **MR. AMMON:** In the last 30 seconds, Jeanne  
6           Briskin mentioned about PEHSUs. The Pediatric  
7           Environmental Health Specialty Units can be a  
8           source of information and training to school  
9           nurses and other health professionals.

10          **MS. MAZYCK:** Wonderful. Thank you.

11          **MR. AMMON:** Good closing. Well, we very  
12          much appreciate your presentation and your time.  
13          And thank you all very much for all of the  
14          questions and the great work that you all are  
15          doing. Again, thank you very much.

16          **MS. MAZYCK:** Thank you.

17       **REDUCING LEAD LEVELS IN DRINKING WATER IN SCHOOLS AND**  
18       **CHILDCARE FACILITIES**

19          **MR. AMMON:** So moving on, we're going to  
20          hear from the US EPA. We're going to hear from  
21          Dr. Treda Grayson who is the branch supervisor  
22          for the Office of Groundwater and Drinking Water  
23          on the topic of reducing lead levels in drinking  
24          water in schools and childcare facilities.

25                 Dr. Grayson.

1           **DR. GRAYSON:** Thank you. Thank you so much  
2           and good afternoon, everyone. As just mentioned,  
3           my name is Dr. Treda Grayson, and I am the  
4           supervisor of one of our newly formed branches in  
5           one of our newly formed divisions in the Office  
6           of Groundwater and Drinking Water at EPA. Our  
7           division is compliance and -- Capacity and  
8           Compliance Assistance Division, and then I am  
9           specifically over the targeted community and  
10          compliance assistance branch. And so that branch  
11          covers things such as drinking water emergencies,  
12          such as what's happening in Jackson, Mississippi;  
13          and then lead issues and specifically lead in  
14          schools and childcare facilities. So that's why  
15          I'm presenting to you today. I will be talking  
16          about some of the efforts that EPA and  
17          specifically Office of Water had to address lead  
18          in schools.

19                 And so next slide, please. Trying to move  
20                 it on my side.

21                 So to get started, the work that we do is  
22                 supported by unprecedented level of resources  
23                 flowing into EPA through the Bipartisan  
24                 Infrastructure Law or BIL which includes  
25                 \$15 billion that's been dedicated -- that's

1 dedicated funding to replace lead pipes in  
2 service lines and remove lead from soil and  
3 contaminated sites.

4 So back in -- just recently, October 27th,  
5 EPA's first ever lead strategy, agency-wide lead  
6 strategy, was released -- I don't know -- about  
7 lead exposures and disparities in U.S.  
8 communities. And what I'm going to talk about  
9 now specifically are some of the efforts that  
10 Office of Water actions -- that we had and that's  
11 related to this strategy.

12 So, one, it's reducing lead exposures  
13 locally to focus on communities with  
14 environmental justice concerns. Oops, sorry.  
15 And that includes providing and awarding funding  
16 and competitive and noncompetitive grants for  
17 public water systems, schools, and childcare  
18 facilities.

19 Also reducing lead exposures nationally  
20 through protective standards, tools, and  
21 outreach. So that's mainly through the Lead and  
22 Copper Rule Revisions or what we call the LCRR  
23 and the Lead and Copper Rule Improvement. It's  
24 LCRI.

25 And then reducing lead exposures with the

1 whole of EPA and whole of government approach.  
2 As I mentioned, this is an EPA-wide strategy. So  
3 there are various aspects of the agency, various  
4 programs within the agency that are addressing  
5 lead. And again, I'm just going to be focusing  
6 on Office of Water.

7           These approaches provide resources to  
8 schools, childcare facilities in the states, and  
9 then working with our partners, such as Health  
10 and Human Services to promote lead testing best  
11 practices in drinking water facilities and also  
12 things that are funded by the Office of Head  
13 Start in the Office of Childcare.

14           Next slide.

15           So over the next few slides, we're going to  
16 be discussing some of the initiatives that we  
17 have within the Office of Water to reduce lead in  
18 drinking water in schools and childcare  
19 facilities. We have the EPA Voluntary Program  
20 for Lead Testing and Remediation. It includes  
21 MOU. We have the 3Ts Program -- training,  
22 testing, and taking action -- which we'll talk  
23 about. And then we also have the Voluntary  
24 School and Childcare Lead Testing Reduction Grant  
25 Program. And then we do have regulation for

1 public water systems, again going back to the  
2 LCRR and LCRI. So we'll touch on that a bit.

3 Next slide.

4 So this is the list of our federal and  
5 nonfederal partners under the MOU that was signed  
6 in 2019. So in blue you see our federal partners  
7 and in green our nonfederal partners. We've  
8 worked with many in the U.S. Department of Health  
9 and Human Services, including CDC over the past  
10 two years on focus issues and projects. And we  
11 do greatly appreciate your support and  
12 perspectives that you brought to the work.

13 So just a little bit of background. Excuse  
14 me. The MOU established to reduce lead levels in  
15 drinking water in schools and childcare  
16 facilities since 2005 and has since been revised  
17 in 2019. And also it provides a framework for a  
18 coordinated approach between critical partners  
19 across the federal government, tribes, water  
20 utilities, and the public health community.

21 Next slide.

22 So first of all, touch on how lead is  
23 regulated in drinking water. And we'll talk  
24 about the LCRR sampling for lead in schools. So  
25 our statute, which happens to be the Safe



1 Drinking Water Act, gives us authority to set  
2 regulations for public water systems. Please  
3 note EPA does not have the authority to regulate  
4 schools or childcare facilities and require lead  
5 testing. But we do play a leading role in  
6 delivering those programs and funding to reduce  
7 lead in drinking water beyond the public water  
8 systems.

9 So EPA provides funding through the Water  
10 Infrastructure and Improvements Act or -- of the  
11 Nation, also known as the WIIN Act Grant Program  
12 and the 3Ts to voluntary test and remediate lead  
13 in drinking water. Also public water systems  
14 follow treatment techniques for corrosion control  
15 through LCR, which is the Lead and Copper Rule  
16 that we currently have in place, and then the  
17 LCRR, which is the revisions that will be  
18 effective in 2024, and then the Lead and Copper  
19 Rule Improvements which are proposed -- will be  
20 proposed in 2023 with a final in 2024.

21 So if you look specifically at what's  
22 required, we -- the Lead and Copper Rule  
23 Revisions, which is -- we said will be effective  
24 in 2024, requires community water systems to test  
25 for lead in elementary schools and childcare

1 facilities. That includes developing a list of  
2 licensed schools and childcare facilities that  
3 are served. And then require -- elementary  
4 schools and childcare facilities are sampled over  
5 -- one at least over a five-year period and then  
6 secondary schools are sampled as requested. And  
7 notable to note after the one five-year period,  
8 the water system must sample for lead in any  
9 school or childcare facility on request.

10 Again I want to make it a point, EPA does  
11 not have the statutory authority under the Safe  
12 Drinking Water Act to require schools and  
13 childcare facilities to take remediation actions  
14 or additional actions.

15 Next slide.

16 Excuse me. So we're going to talk about  
17 3Ts, EPA's 3Ts program for reducing lead. Again  
18 the 3T stands for training, testing, taking  
19 action. And this program is a connector between  
20 the MOU that we just spoke about and the  
21 Voluntary Grant Program. Grant recipients must  
22 use 3Ts -- 3Ts program or one as stringent as  
23 3Ts. And MOU partners use the 3Ts program to  
24 meet MOU outcomes, objectives, and activities.

25 Okay. Excuse me. Excuse me. So the 3Ts

1 program, it provides the steps and the resources  
2 to tailor an implementation plan to train, test,  
3 and take action. On the EPA website, we do have  
4 materials available. There's a 3Ts manual, which  
5 is in English and Spanish, along with the 3Ts  
6 modules, those toolkits, and they allow you to  
7 step through the elements at your own pace.

8 On our website we also -- you're also able  
9 to find everything to implement a lead testing  
10 and remediation program in your schools and  
11 childcare facilities. And along with those  
12 materials, just note there are customizable  
13 templates that you can use to tailor and edit and  
14 put your logo on to communicate results and  
15 actions to parents, and then also things like  
16 plumbing profiles that help you identify and  
17 prioritize where lead may exist.

18 There's also checklists, there's reporting  
19 and recording templates, infographics, and  
20 interactive tools that are all available through  
21 our website.

22 Next slide.

23 So there's several EPA 3Ts tools and  
24 outreach materials that are available and here's  
25 a list of the tools currently available that have

1 published since 2020 to 2022. And just to bring  
2 to your attention, in August of 2022 we published  
3 the materials that are highlighted in blue. And  
4 also if you see asterisk, we have several of  
5 these materials that have been translated into  
6 Spanish so that they're more widely available.  
7 And the bottom of the slide, you can see there's  
8 a link to the 3Ts website for your -- for more  
9 information.

10 Next slide. Excuse me.

11 Potential funding sources for reducing lead.  
12 I know this came up in some earlier discussion,  
13 an earlier presentation. So I know several of  
14 you would like to hear about this. There was a  
15 document published in 2019, titled *Potential*  
16 *Funding Sources for Reducing Lead in Drinking*  
17 *Water in School and Childcare Facilities*  
18 document. That's available. It's over 200 pages  
19 with an interactive map that you can use to  
20 assist schools and childcare facilities in  
21 actually identifying potential funding sources  
22 for lead remediation and water quality related  
23 projects in each state.

24 And the guide includes four federal  
25 programs, information on 79 state programs, and

1           then information on a hundred and fifteen  
2           foundations or companies -- and/or companies that  
3           provide funding opportunities to remediate lead.

4           Next slide.

5           So now I'm going to go a little bit more in  
6           depth about the grant funding that's available  
7           through EPA mechanisms.

8           Next slide.

9           So our grant priorities in terms of child --  
10          voluntary school and childcare lead testing and  
11          reductions. So our priority is disadvantaged,  
12          low-income, and underserved communities. And  
13          under the Safe Drinking Water Act, those are  
14          communities that lack household water or  
15          wastewater service. Also a priority is small  
16          communities -- those are communities that -- with  
17          a population of less than 10,000 individuals and  
18          those that lack the capacity to incur debt  
19          sufficient to finance a project -- schools with  
20          at least 50 percent of the children receiving  
21          free and reduced lunch and Head Start facilities,  
22          also older facilities that are more likely to  
23          contain lead plumbing, tribal and environmental  
24          childcare facilities that primarily -- that  
25          primarily care for children six years and under,

1 and then tribal communities in Indian nations.

2 Next slide. Drinking water.

3 So the Voluntary School and Childcare Lead  
4 Testing and Reduction Grant Program seeks to use  
5 grants to reduce children's exposure to lead in  
6 drinking water in educational facilities. This  
7 is a voluntary program. So states must submit  
8 what we call a notice of intent to participate.  
9 So currently all 50 states, the District of  
10 Columbia, the U.S. territories, and tribal  
11 consortia have been awarded funding for --  
12 funding from EPA grants to do this work. You can  
13 see on the slide a breakdown by the fiscal years  
14 the amount that has been allocated to date.

15 Okay. Next slide.

16 So who receives the funding? And we just  
17 talked about this, but in terms of -- for  
18 tribal -- and I'm sorry, this is more specific to  
19 tribal funding. Those are -- there are seven  
20 tribal consortia that exist and that do  
21 participant. And then you can see there's been a  
22 range from 4.4 million in fiscal year '18-20 up  
23 to approximately 22 million that's estimated to  
24 be allocated in this fiscal year.

25 And you do have -- if you have more

1 questions, we have our EPA contact, Laura  
2 Montoya, who can assist with questions about the  
3 tribal program funding.

4 Next slide.

5 So eligible participants for grant funding  
6 are public or charter schools and childcare and  
7 early childhood care facilities. States define  
8 childcare facilities as private, public,  
9 licensed -- licensed facility or a Headstart  
10 facility, et cetera. And the slide shows among  
11 our ten EPA regions, as you see on the slide, how  
12 much has been awarded to each region. So for a  
13 total of \$78.1 million.

14 Next slide.

15 So another source of funding -- excuse me --  
16 the Bipartisan Infrastructure Law, otherwise  
17 known as BIL or known as Infrastructure  
18 Investments and Jobs Act. So you'll hear any of  
19 these number of acronyms.

20 As you may be aware, this was signed into  
21 law in -- on November 15th of last year, just  
22 over year ago. In this historic investment in  
23 key programs and initiatives by EPA, we are  
24 doing -- using this money to build safer,  
25 healthier, and cleaner communities. So EPA

1 received \$15 billion to strengthen our -- the  
2 nation's water -- the nation's drinking water and  
3 wastewater systems, which happens to be the  
4 hardest -- single largest investment in water  
5 that the federal government's ever made. And  
6 \$30 million of funding -- of this funding is  
7 through the Drinking Water State Revolving Fund  
8 Programs, which I'm sure several of you are aware  
9 of.

10 Next slide.

11 So in terms of BIL, it did change the  
12 Voluntary School and Childcare Lead Testing and  
13 Reduction Grant Program by expanding the program  
14 to allow funding for lead remediation -- so  
15 that's in addition to testing -- increasing  
16 authorization of funding to approximately  
17 \$200 million over the next five year -- the  
18 coming five years, so between 2022 -- fiscal year  
19 2022 and '26.

20 Next slide.

21 Excuse me. Some of the lead remediation  
22 efforts that are supported by the grant. So the  
23 grant can be used to replace, remove, and install  
24 internal plumbing, faucets, water fountains,  
25 water filler stations, point-of-use devices, lead



1 service lines, and other lead apparatus related  
2 to drinking water.

3 Next slide.

4 So this slide talks about the -- what the --  
5 you know, provides some update on the Voluntary  
6 School and Childcare Lead Testing and Reduction  
7 Grant Program. So this is between 2020 --  
8 October 2020 and September 2021. So as you can  
9 see, schools and childcare centers, there's been  
10 a total of 75,000 samples that have been taken.  
11 That's 8,000 facilities that have been tested and  
12 a thousand total facilities that had lead that  
13 exceeded the program remediation trigger, which  
14 is the value that's set by the -- the value  
15 that's set by the state or school or childcare  
16 facility.

17 And as of 2020, there have been -- there are  
18 130,930 recorded number of K through 12 schools  
19 in the United States. And you can see here just  
20 75,000 of them -- well, let's say 51,000 of them  
21 had samples and 2,000 of them have been tested.

22 So there will be a public database that's  
23 planned for release at the end of 2022 to provide  
24 more of these data points.

25 Next slide.

1           So how do individual -- how do states access  
2 this funding? So the funding flow that comes to  
3 EPA and then it is allocated to be programmed to  
4 the states and then states then take that money  
5 and provide it to schools and childcare centers.  
6 There's also -- there are several state and  
7 U.S. -- our EPA regional contacts. Remember, I  
8 showed you the slide of our ten EPA regional  
9 offices that are poised to work on these programs  
10 and answer any questions. So you can see there  
11 there's the link where you can find more  
12 information.

13           And lastly, what's our focus for 2023  
14 because our work is never done? Primarily four  
15 main areas of focus for the year to come. We're  
16 building state program capacity to address  
17 implementation challenges. We know there's a  
18 lack of regulatory support, technical assistance  
19 that's needed, managing the data that's  
20 collected, and then how do we communicate those  
21 results?

22           So we'll be taking some active -- an active  
23 role in addressing some of those capacity issues.  
24 Working on leveraging other sources of federal  
25 funding for ongoing testing and remediation,

1 we've heard a little bit about that earlier  
2 today. And just some -- I've jotted some notes  
3 of things that we will follow up on and I know  
4 staff working on these programs are interested in  
5 hearing about and working with.

6 We're going to continue activities with  
7 partners to increase lead testing and remediation  
8 in childcare and early childhood facilities. And  
9 then we're also going to continue activities with  
10 our MOU partners to develop coordinated  
11 messaging, which is critical, and particularly on  
12 risk and remediation efforts.

13 So with that, I will open it up for  
14 questions or any points of clarification. I'll  
15 have a little bit of time.

16 **MR. AMMON:** Thank you very much. So this is  
17 Matt. I have a question from Claire Barnett.  
18 What is the 3Ts recommended action level today?  
19 Was 15 parts per billion. Is there a specific  
20 parts per billion cited?

21 **DR. GRAYSON:** Or what was -- I heard -- what  
22 was the last thing you said?

23 **MR. AMMON:** Is there a specific parts per  
24 billion cited? So 3Ts recommended action level?

25 **DR. GRAYSON:** No, there is not. There is

1 not a recommended level.

2 **MR. AMMON:** Okay. See if there's any  
3 follow-up questions from there.

4 **MS. BARNETT:** It was 3Ts. There was a  
5 moment in time when I think the early version  
6 had -- of the updated version had 20 parts per  
7 billion, which I think was adopted in Maryland,  
8 and then after -- there was a bit of an uproar  
9 against EPA during that period of time, and it  
10 was --

11 **DR. GRAYSON:** Yeah.

12 **MS. BARNETT:** And it was -- and I think they  
13 just stopped it altogether. But I think there's  
14 language in there that would be helpful to point  
15 out about go low essentially.

16 **DR. GRAYSON:** Yeah.

17 **MS. BARNETT:** Right?

18 **DR. GRAYSON:** Exactly. Exactly.

19 **MS. BARNETT:** You need to have a -- you need  
20 to have a parts per billion in there if you're  
21 going to revise the program because the states  
22 are under enormous pressure not to do anything.  
23 Thanks.

24 **DR. GRAYSON:** Yes. Thanks, Claire.

25 **MR. AMMON:** Thanks for the question.

1           Next we have a question from Patrick  
2 Parsons.

3           **DR. PARSONS:** Hi. Yes, thanks very much,  
4 Treda, for your presentation.

5           **DR. GRAYSON:** You're welcome.

6           **DR. PARSONS:** This may be related to  
7 Claire's question. But in your slides, you had  
8 something called a program remediation trigger.  
9 Is that -- can you expand a little bit on that?  
10 What exactly is that? Does it vary from one  
11 state to the next?

12           **DR. GRAYSON:** It does vary. That's -- and  
13 in the note -- in the slide, it's a level that is  
14 set by the state and that's set by us. So that's  
15 why we don't put one in in the 3Ts because it's  
16 variable.

17           **DR. PARSONS:** Thanks.

18           **DR. GRAYSON:** Uh-huh.

19           **MR. AMMON:** Thanks, Patrick.

20           Next question is from Dr. Allwood.

21           **DR. ALLWOOD:** Thank you, Matt.

22           And thank you, Treda. You know, there's  
23 a -- you put out a lot. You know, there's plenty  
24 in here to munch on. So I have a couple parts of  
25 your presentation that really kind of piqued my

1 curiosity.

2 First is that, you know, you talked about  
3 these -- the different, you know, iterations of  
4 the LCR: LCR, LCRR, and LCRI. Can you say a  
5 little bit about what's changing in each of  
6 those? They all -- you know, I find it a little  
7 hard to keep up on the versions. And so, you  
8 know, if you could share a little bit more about  
9 what is changing in each of those -- or the LCRR  
10 and LCRI.

11 **DR. GRAYSON:** Yes. So there are -- and, I  
12 mean, a lot of what's changing, and it's not  
13 necessarily for lead in schools -- well, the  
14 revisions, the LCRR are providing some more  
15 specificity for something like lead service line  
16 replacements and public notification of when a  
17 system has a -- an action level exceeded.

18 So a lot of that is wrapped into the LCRR.  
19 And then there's also the improvements which is  
20 talking about and focused on some of the  
21 implementation -- some of the implementation of  
22 the LCR and the LCRR. So they're kind of  
23 staggered. They're very -- they're intertwined  
24 but staggered at the same time in how they're  
25 being rolled out. I'm trying to see if I can

1 quickly find a good -- find a good comparison  
2 that we have to show you, like, the differences.  
3 Just give me just a second.

4 If you have another question while I find  
5 that, I will --

6 **DR. ALLWOOD:** Yes, yes. Yeah, while you're  
7 doing that, I also, you know, was curious about  
8 the water's -- what determines the amount of  
9 money that was given to the regions? It seems  
10 like in some cases -- you know, the range is  
11 pretty wide across the regions. So maybe you  
12 could share a little bit on that.

13 And then the final thing I was curious about  
14 is your voluntary testing program that, you know,  
15 was 2,000 facilities that are -- have been  
16 tested. I just kind of wondered if there was any  
17 kind of, you know -- how are those spread all  
18 across the country? Is it like, you know, more  
19 likely that facilities in one region or the other  
20 will be tested more or is this fairly  
21 geographically distributed across the country?

22 **DR. GRAYSON:** Got it. Got it. Hold on just  
23 a second. We actually -- for your last question,  
24 we just published a -- and I will get a staffer  
25 to get me the website for that. We just

1 published a GIS-based map that you can -- it's an  
2 interactive map that you can go onto our website  
3 and click and see where testing has occurred.  
4 And I'll see if I can get that link for you as  
5 well.

6 And the question you asked before that, can  
7 you repeat that, Paul? Because I was trying to  
8 get them all.

9 **DR. ALLWOOD:** It was about how the regions  
10 got their dollars. What was kind of driving how  
11 much they got?

12 **DR. GRAYSON:** More -- usually when we get  
13 funding -- when the funding comes in from  
14 Congress, there is an allocation formula that is  
15 calculated that is formulated and then that is  
16 used to then allocate the funding out.

17 So it's a formula that comes with the money  
18 that we then -- you know, we basically plug the  
19 number in and then it spits out how much of the  
20 full amount goes to each region. So it's not  
21 necessarily like, oh well, they have -- you know,  
22 it's based on factors, but you plug those factors  
23 in to come up with the allocation formula. So  
24 it's not arbitrary at all.

25 **DR. ALLWOOD:** Thanks.



1           **DR. GRAYSON:** Uh-huh, you're welcome.

2           **MR. AMMON:** All right, thank you.

3           Next question comes from Tammy  
4 Barnhill-Proctor.

5           **MS. BARNHILL-PROCTOR:** Hi, Treda. Thank you  
6 so much for providing such rich information. But  
7 my question is leaning into an access question.

8           **DR. GRAYSON:** Yes.

9           **MS. BARNHILL-PROCTOR:** As a person who sits  
10 in the early childhood and education space at the  
11 Department of Education, I understand the  
12 critical -- how critical it is to testing  
13 children early on. But I see that you guys  
14 distribute out your funds. Do your grants  
15 require the states to do any level of outreach or  
16 public awareness and dissemination to make sure  
17 that schools and childcare centers -- especially  
18 family home-care providers, make sure they  
19 understand that these funds are out there to  
20 support them in their -- you know, in their  
21 dwellings and be able to test and be able to  
22 assist?

23           **DR. GRAYSON:** Yes, ma'am. The public  
24 education -- the education piece is very  
25 critical. And so we're actually working on a

1 situation right now where we're working with the  
2 state to -- they're asking -- we're assisting  
3 them with their education to the public and we do  
4 provide templates for that reason, like how do we  
5 communicate these results? How do we let people  
6 know that this information is out there? So,  
7 yes, that is a critical piece of all of this  
8 work. It would not happen if we don't do that  
9 education piece.

10 **MS. BARNHILL-PROCTOR:** Thank you.

11 **DR. GRAYSON:** You're welcome.

12 **MR. AMMON:** Thanks for the question.

13 Next question comes from Claire Barnett.

14 **MS. BARNETT:** Thanks. This goes back,  
15 again, to EPA on the money side. There was a  
16 question about the allocations to the states and  
17 why they might be different. For people who are  
18 familiar with education, you know, there's sort  
19 of standardized blocking of grant money based on  
20 student enrollment and number of buildings and so  
21 forth or number of Title I schools.

22 But also in the Biden White House, there's a  
23 new thing called Justice40 which requires 40 --

24 **DR. GRAYSON:** Uh-huh.

25 **MS. BARNETT:** Right? -- 40 percent of the

1 organization's funds to go to ^ and  
2 disadvantaged communities. And I'm betting that  
3 yours is one of those programs; is that right?

4 **DR. GRAYSON:** Yes, it is. It is.

5 **MS. BARNETT:** Yeah. Thank you.

6 **DR. GRAYSON:** You're welcome.

7 **MR. AMMON:** I'm just scanning here before I  
8 ask a question.

9 **DR. GRAYSON:** I'm sorry. And I'm trying to  
10 share with you -- I'm just going to just put it  
11 in the chat.

12 **MR. AMMON:** Just let me know when I can ask  
13 you a question.

14 **DR. GRAYSON:** Oh, sure. I was just going to  
15 provide -- I was going to provide some more  
16 information on the allocation formula. So some  
17 of the factors or criteria that are involved in  
18 doing that calculation include the population  
19 based on census data, the disadvantaged  
20 communities in that area, lead exposure risk, the  
21 number of grantees, which are currently all 50  
22 states, DC, and the three territories. So these  
23 are all factors that are -- they are put into the  
24 allocation formula.

25 **MR. AMMON:** Great, thanks. So as we're

1 waiting for another question to come -- this is  
2 Matt. So you had mentioned that, you know,  
3 there's pretty much a gap in required remediation  
4 if I'm correct. So, you know, the identification  
5 doesn't automatically trigger the remediation --

6 **DR. GRAYSON:** Right.

7 **MR. AMMON:** -- within your framework, right?  
8 Within your framework?

9 **DR. GRAYSON:** Uh-huh.

10 **MR. AMMON:** So have you seen states  
11 implement on their own their own set of  
12 remediation requirements to -- you know, to --  
13 you know, to have the continuum of testing and  
14 remediation?

15 **DR. GRAYSON:** That is a good question. Let  
16 me see. I know that we do have some states  
17 that -- you know, as I said, states can do --  
18 they can do more than we require. So they can be  
19 more stringent. I am just pulling up some  
20 information for you now. Let me see if I can  
21 give you a good example of that.

22 **MR. AMMON:** The other question I had -- I  
23 don't see anyone teeing up --

24 **DR. GRAYSON:** No, that's fine.

25 **MR. AMMON:** On the -- you know, it's

1 exciting about the Bipartisan Infrastructural  
2 Law. I mean, it's very exciting, right? I mean  
3 to have that amount of money, I think, is great.  
4 And what it focuses on is great too. And the  
5 other thing that I think is unique about it  
6 too -- and I just want to hear how you guys are  
7 slightly shifting in your focus -- is that one of  
8 the differences that we've seen in the specific  
9 language for the law -- and correct me if I'm  
10 wrong -- is that not only does it prioritize  
11 disadvantaged communities, which is very much in  
12 line with the Justice40 Initiative from the White  
13 House, but it also includes -- I think maybe for  
14 the first time, I think, so not only  
15 disadvantaged communities but it also includes  
16 low-income owners, landlords, and, you know,  
17 property owners? Probably I think that provides  
18 housing to low-income renters which I think is  
19 different, right, than what you would normally  
20 see as part of your authorizing language  
21 regarding the water funds and then you guys do  
22 your affordability calculation and things of that  
23 nature, slightly shifting your focus to make sure  
24 that, you know, not only are you focusing on what  
25 you normally would do, which is disadvantaged

1 communities, but then that additional level of  
2 prioritization, including directly to landlords,  
3 directly to property owners and the like.

4 **DR. GRAYSON:** So you're asking what we're  
5 doing? Or ...

6 **MR. AMMON:** Well, I'm just wondering because  
7 it's just a slight shifting of work, right, in  
8 terms of your prioritizations. So normally you  
9 would just focus that water -- I mean -- I'm  
10 sorry, that funding on disadvantaged communities,  
11 but the bill includes that provision, that  
12 additional provision --

13 **DR. GRAYSON:** Yes.

14 **MR. AMMON:** -- related to, you know, not  
15 only the macro communities but also the micro,  
16 like individual homeowner.

17 **DR. GRAYSON:** Uh-huh.

18 **MR. AMMON:** Okay. How are you guys shifting  
19 that in giving guidance to states on -- because  
20 of that slight shift just related to that  
21 funding, right?

22 **DR. GRAYSON:** Right.

23 **MR. AMMON:** Does that make sense?

24 **DR. GRAYSON:** Well -- I mean, well, yes.  
25 We're making that shift because that is one of

1 the -- I mean, it is one of those stipulations  
2 that is attached to that money. Like, it can  
3 only go towards certain purposes and in certain  
4 directions.

5 So, yes, we are -- you know, the agency has  
6 always had a focus on environmental justice and  
7 underserved communities. But in this regard, we  
8 can now fully get behind and fully implement and  
9 target those communities that we know need the  
10 assistance.

11 So, yes, for us it's -- we are thinking  
12 about it holistically and then figure out how we  
13 do the most good across the board and coming up  
14 with particular program measures and check -- you  
15 know, basically like checklists, make sure that  
16 we are thinking broadly about these issues and  
17 making sure that they're a part of our  
18 decision-making and our funding.

19 **MR. AMMON:** I appreciate that. I mean  
20 coming from -- I mean, I'm speaking as HUD now.  
21 I think it's great.

22 **DR. GRAYSON:** Yeah.

23 **MR. AMMON:** Because obviously that's -- our  
24 main constituents, you know, obviously are the  
25 assisted --

1           **DR. GRAYSON:** Yeah.

2           **MR. AMMON:** It's not only just multifamily  
3 or private but also public -- public housing.

4           So I will switch. Dr. Allwood has another  
5 question.

6           **DR. GRAYSON:** Sure.

7           **DR. ALLWOOD:** Yeah. Actually I -- you know,  
8 I have several questions. But I just wondered,  
9 Treda, maybe you answered this somewhat when you  
10 responded to Matt's question. But, you know,  
11 there are many, many sources of, you know,  
12 potential lead exposures in schools beyond water.  
13 And then -- and I know you're in the Office of  
14 Water so, you know, I can understand that. Maybe  
15 you are -- your funds have to be targeted there.  
16 But even if it's a water-related, you know, focus  
17 for these dollars, there are -- you gave a long  
18 list of possible actions that could be taken. So  
19 does EPA provide any assistance, any technical  
20 advising to the school system as they  
21 contemplate, you know, the nature of their  
22 potential lead exposure problem and what, if any,  
23 solutions would be affordable or effective or,  
24 you know, most feasible?

25           **DR. GRAYSON:** The short answer to that



1 question is what we -- because we don't -- you  
2 know, obviously we don't endorse one particular  
3 method or another or a product or, you know.  
4 We -- we do -- we put our focus on providing  
5 materials widely so -- for those -- for those  
6 facilities. Through our MOU, within our  
7 partners, we have regularly scheduled meetings.  
8 I think we have one coming up in the spring where  
9 we have these discussions about what are you  
10 seeing? where do you see the need? where can we  
11 develop materials, outreach? incorporating into  
12 our program so that we can address some of those  
13 items.

14 So, you know, it's an iterative process.  
15 It's not like this is all we know and this is  
16 what we're going to address. Like, as different  
17 issues come up, we are taking those in. We're  
18 analyzing them and figuring out how we can best  
19 provide. There are -- we do have those regional  
20 contacts, and then when systems come in for grant  
21 funding, there is a bit of education that happens  
22 with that. And to a certain extent, we have  
23 people in our regions who can provide some of  
24 that on the ground. If I have a question, I can  
25 call and get some assistance or we can direct you

1 to where we can find that assistance. So it's  
2 not like we just give you the money and you go  
3 off and do great things. Like, we do try to help  
4 where we can.

5 And we're also -- with BIL and some of these  
6 other sources of funding that have been coming to  
7 the agency, we're actually staffing up so that we  
8 can do more of this communication and outreach.  
9 And also to be able to manage all the money to  
10 get it out the door. It's coming in and we've  
11 got to be able to get it out.

12 **DR. ALLWOOD:** Yeah. I think I can  
13 understand that a little bit.

14 **DR. GRAYSON:** Yeah. Yeah.

15 **DR. ALLWOOD:** Thank you for that nice  
16 response.

17 And, you know, I'll just take a moment here  
18 if it's okay with you, Matt, Mr. Chair, to just  
19 remind every -- you know, all of the committee  
20 members that, you know, this is a -- we're kind  
21 of structuring these discussions to create plenty  
22 of opportunities for questions, you know, all of  
23 our speakers. And so, you know, please just  
24 remember that if you have a question, if you've  
25 got, you know, something -- a comment or just

1 want to get clarifications, you know, feel free  
2 to just let the chair know or, you know, just  
3 chime in as you see fit.

4 **DR. GRAYSON:** And, Paul?

5 **DR. ALLWOOD:** Yes. Yes.

6 **DR. GRAYSON:** If I can just make one slight  
7 diversion, still lead-related. You know, I  
8 mentioned I'm talking specifically about what  
9 we're doing in Office of Water. That was the  
10 focus of this talk. But there's other work we  
11 have. Agency-wide we're doing lead work.

12 And one item that I wanted to bring in front  
13 of the group as a heads-up of what's happening,  
14 we are proposing endangerment funding for lead  
15 emissions for aircraft engines that operate on  
16 leaded fuel. So the public period for this  
17 proposal is open to January 17, 2023. So on the  
18 17th of October, EPA proposed a determination  
19 that lead emissions for certain aircraft cause or  
20 contribute to lead air pollution in which --  
21 which may reasonably be anticipated to endanger  
22 public health and welfare.

23 So please go take a look at that. It's on  
24 our website. And provide comment as you see fit.  
25 So we'd appreciate that.

1           **DR. ALLWOOD:** Thank you. Thank you. I  
2 appreciate that update.

3           **DR. GRAYSON:** Yes. You're welcome.

4           **MR. AMMON:** Thanks, Dr. Allwood.

5           **MS. KHAN:** This is a five-minute time check.  
6 Go ahead. Go ahead, Matt.

7           **DR. GRAYSON:** Thanks. Thank you.

8           **MR. AMMON:** In the earlier -- I think it was  
9 last week actually, Dr. Grayson, Jeanne Briskin  
10 had sent around information updating all of us on  
11 what EPA's doing related to lead --

12           **DR. GRAYSON:** Yes.

13           **MR. AMMON:** -- and it's a tremendous amount  
14 of work.

15           **DR. GRAYSON:** Uh-huh.

16           **MR. AMMON:** It's everywhere. You know, it's  
17 not just focused on one thing. It's a really  
18 broad spectrum of work around these issues. So I  
19 appreciate that.

20           I'm just following up on one thing that  
21 Dr. Allwood mentioned was -- so if I have, you  
22 know, obviously public housing authorities or  
23 assisted multifamily owners, what can I tell them  
24 in terms of if they were looking for more  
25 information or how to reach out possibly in terms

1 of, you know, being part of the framework when,  
2 you know, decisions are being made in terms of  
3 where to start the work for lead services and  
4 placement? What would be the best place for me  
5 to tell them to go that's helpful?

6 **DR. GRAYSON:** Well, gosh, there's a couple.  
7 The first place I would recommend is to go to the  
8 state, look at the state lead program and the  
9 public water system, what they're putting out.

10 Secondly, EPA, like I said, on our website  
11 we have regional where -- you know, we're broken  
12 up by regions. So I'm at headquarters in DC, but  
13 we have ten regional offices. So then I would  
14 also at the same time, connected with the state,  
15 I would also reach out to the regional drinking  
16 water lead program contacts for some additional  
17 information. And often you'll find on some of  
18 our sites the state will link to EPA and  
19 sometimes vice versa, depending on what it is.  
20 So that's where I would go first and foremost.

21 **MR. AMMON:** Okay. Easy enough. I mean,  
22 I -- that's great. And that's easy for  
23 (inaudible) to do. All that information is very,  
24 very available.

25 **DR. GRAYSON:** Yes.

1           **MR. AMMON:** The website's pretty  
2 straightforward and easy to follow. So I think  
3 that's helpful. As part of the -- as part of the  
4 money flowing into the states through the formula  
5 program, as you mentioned, is there -- and I know  
6 EPA does this a lot with community forums where  
7 you're getting feedback from the community on --  
8 as you're implementing or is it not part of the  
9 bill?

10           **DR. GRAYSON:** Depending on what the action  
11 is, we do do community meetings. Like, well, we  
12 call them public meetings. We often do public  
13 meetings if we have -- we're intending to take an  
14 action or a rule. So we would have those  
15 opportunities for public engagement. You might  
16 also find more of the public engagement that  
17 happens at the state level, which then -- often  
18 when the state has those types of meetings,  
19 depending on what it is, we will -- that  
20 information is coming to us as well. So it's not  
21 saying that we are -- it's in a vacuum and we  
22 don't know this.

23           I think I would suspect -- and this is  
24 something that we've had internal conversations  
25 about quite a bit, how we can do a bit more

1 public engagement and outreach, which is part  
2 of -- this is part of what we can kind of get to  
3 with Justice40.

4 So I know that's something that's a passion  
5 of mine, like we need to get to the people who  
6 need the most needs and how we need to hear from  
7 them so that we can help. So I can't say that's  
8 what we're going to do, but that is something  
9 that I know that I have a focus to do because we  
10 need to be able to -- we need to hear from the  
11 people. They need to understand what's happening  
12 to them so that we can offer that we can help  
13 them.

14 **MR. AMMON:** No, I totally agree. I totally  
15 agree. And I know we're not -- HUD is not part  
16 of the MOU, but that doesn't mean that if you --  
17 if you needed somebody to engage work at the  
18 local community level --

19 **DR. GRAYSON:** Uh-huh.

20 **MR. AMMON:** -- to make connections as you --  
21 and as states start developing plans in terms of  
22 where they've been going not only at the  
23 community level but literally at the localest  
24 level possible, we're always a resource to help.

25 **DR. GRAYSON:** Thank you.

1           **MR. AMMON:** Dr. Mielke has a question.

2           Howard, you've got to take yourself off  
3           mute, please.

4           **DR. MIELKE:** Okay. I'm here. Sorry.

5           **DR. GRAYSON:** Hi.

6           **DR. MIELKE:** I really appreciate your  
7           presentation. And I just wanted to follow up on  
8           your comments about avgas. I'm looking out the  
9           window right now. I have this in a chat as well.  
10          Airplanes are flying over -- these are piston  
11          engine airplanes flying over Seattle Children's  
12          Hospital, and I'm very aware of the amount of  
13          lead that's still in avgas. The EPA has made a  
14          good presentation on that topic. And I was  
15          hoping that we could make a proposal on behalf of  
16          LEPAC to declare support for EPA's endangerment  
17          listing of lead additives in avgas. This would  
18          be a -- you know, a primary prevention approach,  
19          and I look forward to hearing what other members  
20          of the committee -- their expression and comments  
21          would be.

22          **MR. AMMON:** Yeah. Thank you for that.

23          That's probably something we can discuss later.

24          But again thank you for that question.

25          We are at a break right now. So we will



1 resume back at 2:30 and thank you very much for  
2 that presentation. It was very, very  
3 informative.

4 **DR. GRAYSON:** You're welcome.

5 **MR. AMMON:** We will see everyone back here  
6 at 2:30. Thank you very much.

7 (Break from 2:19 to 2:30 p.m.)

8 **LEAD SAFE TOOLKIT FOR HOME-BASED CHILDCARE**

9 **MR. AMMON:** So welcome back, everyone. And  
10 continuing on with our presentations, we are next  
11 going to hear from Amanda Reddy -- she is the  
12 executive director of the National Center for  
13 Healthy Housing -- on their Lead-Safe Toolkit for  
14 home-based childcare.

15 Amanda.

16 **MS. REDDY:** Thank you, Matt. And thank you  
17 to everyone for the opportunity to share this  
18 information with you today. And I just want to  
19 say how much I've appreciated all of the other  
20 panelists and the really -- the emphasis on the  
21 need to really address lead exposure across all  
22 settings where children find themselves today. I  
23 really also appreciated Donna's comments about  
24 how much -- the takeaway today seems about how  
25 much we can do together. I fully agree with

1           that.

2           The National Center for Healthy Housing, or  
3 NCHH for those who are not familiar with our  
4 organization, is a national nonprofit. And when  
5 we were founded thirty years ago, our original  
6 charge was to tackle the issue of childhood lead  
7 poisoning, which remains at the heart of our  
8 mission. And over the last three decades, we've  
9 done just that through a combination of research,  
10 advocacy, and capacity building.

11           And if we could move to the slide, I'd like  
12 to also acknowledge, though, today that the work  
13 I'm going to be sharing with you reflects work  
14 and efforts and partnership not just from NCHH  
15 but through the Children's Environmental Health  
16 Network, the Eco-Healthy Childcare Program, our  
17 partners at the National Association of Family  
18 Childcare, and many others, including the  
19 advisory committee who's been advising this  
20 constellation of partners, their childcare  
21 providers as part of that advisory committee, our  
22 Getting Ahead of Lead Network, home-based  
23 childcare providers, and other local and national  
24 organizations, some of whom you'll be hearing  
25 about during today's presentation.

1           If we can move to the next side.

2           So it may seem a little bit like a sort of  
3 sharp turn that we're making here to be thinking  
4 about the home environment, given the theme of  
5 today presentation and the really important  
6 emphasis and focus of today's conversation on  
7 educational and early learning environments. But  
8 I think we can also all agree that homes matter,  
9 right, that we know that even prior to COVID that  
10 Americans spend up to 70 percent of their time on  
11 average in residential environments. We know  
12 this from the National Human Activity Pattern  
13 Survey and that that proportion traditionally has  
14 been even higher for certain populations if we  
15 think about the elderly, some disabled residents,  
16 and certainly our youngest children.

17           So I think it's also important to remember  
18 that when we're talking about early learning  
19 environments, we are also talking about homes.  
20 And that's why this presentation, I think, is a  
21 nice complement to the others that we've heard  
22 today.

23           If we can move to the next screen here.

24           So the graph that you see on this screen is  
25 from an analysis conducted by Child Trends in

1 2012. So it's a little bit outdated. But the  
2 general takeaway still holds true, that a  
3 majority of children under five are receiving  
4 care in a residential setting, whether their own  
5 home, the home of a relative, or in a licensed or  
6 unlicensed home-based daycare or childcare  
7 setting.

8 So you can see here that only about  
9 12 percent of children under five in 2012 were  
10 accessing center-based care as their primary care  
11 arrangement. And that's not to take away from  
12 the important messages we've heard today about  
13 the resources and needs of these facilities, that  
14 they're -- I want to emphasize how important that  
15 is. But it does matter to think about childcare  
16 more broadly and to recognize that residential  
17 environments are also learning environments.

18 Because of the potential that is represented  
19 by finding and fixing lead hazards in these  
20 homes, we have an opportunity to prevent exposure  
21 for an entire class of children by finding and  
22 fixing those hazards in these homes. But also  
23 because the messages that are tailored to  
24 childcare or early learning environments or  
25 educational settings often assume a center-based

1 structure or facility. And messages aimed at  
2 remediating lead hazards in homes don't always  
3 take into account the special concerns or  
4 logistical needs of those who are running  
5 businesses of family-based childcare out of their  
6 homes. So it's really critically important.  
7 This is a huge opportunity for us to reduce lead  
8 exposure for children but one that isn't really  
9 well-addressed.

10 Now, if we could move to the next slide  
11 here.

12 You know, I think it's worth acknowledging  
13 that environmental health is not -- often not  
14 very well-addressed in licensing, regulations,  
15 guidelines, professional development  
16 opportunities, or other types of guidelines and  
17 supports for childcare at the state and local  
18 level. What you see here on the screen is an  
19 image of what comes up if you enter the phrase,  
20 "lead poisoning," into the search bar of the  
21 QRIS, that's the Quality Reading and Improvement  
22 System Resource Guide that's hosted by the  
23 National Center on Early Childhood Quality  
24 Assurance. And if the print is too tiny for your  
25 screen, under the heading of search results, it

1 simply says, "No results found." In a way it's  
2 not -- not fair to pick on the QRIS Research  
3 Guide. They note explicitly in another place in  
4 this guide that health and safety concerns are  
5 often not addressed by these types of  
6 improvements, standard settings, and criteria.  
7 In states that -- you know, that tends to be  
8 handled by licensing requirements in state. But  
9 they're not always well-addressed there, and I  
10 think it also highlights the sort of  
11 fragmentation that home-based childcare providers  
12 experience in all of the different places that  
13 they're going for guidance and requirements about  
14 how to really help children thrive and learn and  
15 reach their full potential.

16 And if we could move to the next slide.

17 I think -- so while it is clear that there's  
18 a great opportunity for us to do more, it really  
19 sort of begs the question: What is standing in  
20 our way? And throughout the work that I'm going  
21 to describe to you today, we felt that it's been  
22 really important to talk to a wide variety of  
23 stakeholders but most especially directly to  
24 childcare providers, those providing home-based  
25 childcare to this young and vulnerable

1 population. And so we've engaged home childcare  
2 providers through that advisory committee  
3 structure I mentioned, through the network and  
4 cohort of childcare providers that are working  
5 together to build their own capacity around this  
6 so that they can make changes in their own  
7 childcare homes but also bring that message to  
8 others and to help to serve as a model for others  
9 in their region and their state.

10 But last spring we also had the opportunity  
11 to host a roundtable discussion with home-based  
12 childcare providers and HUD-led hazard control  
13 grantees to understand more about the barriers  
14 and opportunities to reducing lead exposure and  
15 home-based childcare, including what's happening  
16 in communities where resources like lead hazard  
17 control grants are -- exist but where they aren't  
18 reaching this particular audience or where  
19 they're having trouble reaching that particular  
20 audience.

21 And what we heard from this group were a set  
22 of challenges that can best be sort of described  
23 in the buckets that you see on the screen. In  
24 some cases, there still is just a lack of  
25 awareness of lead as a problem or all of the ways

1 that lead can sort of show up, right, that we've  
2 heard today already, about lead not just in paint  
3 but in water, in soil, in consumer products, so  
4 the need to just increase awareness, both of lead  
5 as a problem and an awareness of the resources  
6 and programs and supports that may exist within a  
7 community.

8 Related to that, we heard a lot about  
9 recruitment challenges and how that lack of  
10 awareness can really make it difficult for  
11 programs that have money, have supports available  
12 to be able to reach these childcare homes.  
13 Certainly it's no surprise to anybody that we  
14 heard that costs -- that their fear of costs was  
15 a major barrier for childcare providers who may  
16 be aware that this could be a potential issue to  
17 even take the steps of getting tested. The cost  
18 of testing itself was a barrier and then the fear  
19 of what the costs of remediating the hazards --  
20 any hazards that might be identified certainly a  
21 major, major barrier.

22 And related to that, you know, particular  
23 challenge that's unique to this audience that  
24 isn't, you know, something that traditional lead  
25 hazard control programs that may just be working



1 with homeowners and residents enter -- encounter  
2 is the service disruption that will occur if a  
3 hazard is identified and remediation is to take  
4 place, that, you know, if it's not possible to do  
5 that work and have children present in the  
6 building safely -- you know, it's not always  
7 feasible for a business just to shut down. That  
8 may pose a threat to the livelihood of the person  
9 running the business, the paycheck, you know, the  
10 staff who were employed by that business, as well  
11 as create a hardship for the families who are  
12 accessing that childcare. So that's a major,  
13 major challenge.

14           There's also fears about liability. What  
15 happens if a hazard is found as a result of, you  
16 know, a childcare provider voluntarily testing,  
17 you know, anything, whether it's -- again looking  
18 for those lead paint hazards; looking for hazards  
19 in their water, in their soil, or the products  
20 that they've brought into their classroom? I  
21 think it's well understood that they would have  
22 an obligation to notify staff, to notify parents,  
23 but what kind of legal ramifications that might  
24 have for them.

25           And then finally, we've also heard about a

1 lack of regulations and mandates. One of the  
2 providers said really plainly that, you know,  
3 even if there were all the services and programs  
4 available that they would need to be really  
5 compelled by having this be a requirement. And  
6 at the same time a requirement that exists in the  
7 absence of those kinds of supports to actually  
8 pay for the remediation would also be completely  
9 ineffective, so really seeing how all of these  
10 things go hand-in-hand.

11 And importantly we also heard from this  
12 meeting not about the barriers in specific but I  
13 think it's worth mentioning, as part of this  
14 meeting and the feedback that we received  
15 afterwards, we had many of the childcare  
16 providers who participate spontaneously reach out  
17 to us and say, Nobody ever asks our opinion.  
18 This was so special. Like, thank you so much for  
19 actually wanting to understand what it's like on  
20 our side.

21 And really interesting to me were the  
22 responses we got from the HUD Lead Hazard Control  
23 grantees, including one of whom said, I've been  
24 in this work for, you know, decades at this point  
25 and this is the best lead meeting I've ever

1 attended, which -- so I think it really  
2 highlighted the uniqueness of this opportunity.

3 If we could go to the next slide, please.

4 So the group of partners I referenced in the  
5 beginning has really been thinking over the last  
6 several years as we've been getting this feedback  
7 about what our response is to the barriers that  
8 have been identified and the opportunities that  
9 we know exist out there. And so we have been  
10 engaging in a set of activities, you know, coming  
11 at this problem from three different angles and  
12 to create a set of tools and resources to really  
13 support that increased awareness, both of lead as  
14 a hazard and as a problem that childcare  
15 providers should be concerned about as well as  
16 increasing their awareness of the resources and  
17 programs that may be available to them, really  
18 improving the capacity of childcare providers  
19 themselves but also communities that may be  
20 interested in helping to support them in  
21 improving their residential environments for the  
22 children they serve and then looking at  
23 opportunities to really put these kind of changes  
24 and supports into effect more permanently. We  
25 want to have that immediate impact but also

1 making sure that we're protecting generations to  
2 come.

3 And I won't be able to share everything  
4 we've been doing across these three buckets, but  
5 I'm really excited to be able to share some of  
6 the work with you today as well as just  
7 highlighting the importance of engaging with this  
8 particular audience of home-based childcare  
9 providers.

10 So if we could go to the next slide, please.

11 So one of the products that has emerged as a  
12 result of our engagement with home-based  
13 childcare providers is a set of toolkits to  
14 support increasing their awareness and some  
15 immediate and then intermediate and long-term  
16 steps that home-based childcare providers can  
17 take related to lead in paint, in drinking water,  
18 in soil, and in consumer products.

19 These toolkits are available in both English  
20 and Spanish. We started with toolkits focused on  
21 home-based childcare facilities. We also do have  
22 a companion one that is focused on center-based  
23 facilities. And each of the sort of focus  
24 sections, the topical areas here, have a sample  
25 policy that programs can either -- or home-based

1 childcare providers can just adopt as is or use  
2 as a starting point for their own policy. And  
3 then an implementation worksheet, again having  
4 those sort of incremental steps: What's  
5 something you can do today? Right? Even if you  
6 don't have any money or very little money to  
7 start reducing lead exposure for kids now that  
8 you know it might be a problem and then sort of  
9 helping them sort of build towards actually  
10 really identifying and permanently reducing that  
11 exposure in their childcare homes. And again  
12 this was created under the guidance of that  
13 advisory committee.

14 We start working on these toolkits when the  
15 pandemic hit. So there was also a companion set  
16 of resources that we developed related to sort of  
17 COVID-19 guidance and how that intersected with  
18 this audience, thinking about their environmental  
19 health and protecting the children under their  
20 care.

21 If we could go to the next slide, please.

22 We've also been supporting a network of  
23 home-based childcare providers currently that the  
24 current cohort has 31 home-based childcare  
25 providers from all over the country who have

1 completed a series of trainings, both synchronous  
2 trainings where we bring everybody together and  
3 we have rich discussions and exchanges and then  
4 some asynchronous training that they can access  
5 on demand and then complemented with in-person  
6 training over the course of six months.

7 And we've been seeing this cohort really  
8 take incredible action to implement changes  
9 immediately in their own practices, stepping up  
10 to serve as regional trainers of other providers.  
11 And we've been able to provide some financial  
12 support to help them carry that message to others  
13 in their community, sharing their testimonials so  
14 that they can help encourage others who might be  
15 a little bit nervous about taking something like  
16 this on.

17 And I'm pleased to share that we're going to  
18 be launching a new cohort in 2023 also. So if  
19 any of you have networks where you are engaged  
20 with home-based childcare providers, get in touch  
21 because we'd love to be able to get that  
22 opportunity in front of them.

23 If we could move to the next slide.

24 We also, again as part of that increasing  
25 the awareness piece of this, have a four-part

1 webinar series that we did offer live for  
2 home-based childcare providers but also recorded  
3 so they would be available as an on-demand  
4 resource. That introduced providers to the  
5 toolkit and the steps that they can take. Each  
6 of the four webinars is focused on those four  
7 topic areas I mentioned a few slides ago: lead in  
8 paint and drinking water, soil, and then consumer  
9 products.

10 We also have available under our current  
11 funding 300 scholarships that are available for  
12 home-based childcare providers to complete  
13 online, on-demand -- so they can take it whenever  
14 it's convenient for them -- Eco-Healthy Childcare  
15 course, so that they can access that course free  
16 of charge. That course addresses lead but also a  
17 wide range of other exposures. Again, please  
18 feel free to get in touch with us about how you  
19 can access that course, which our colleagues at  
20 the Children's Environmental Health Network host  
21 that really excellent resource.

22 If we could move to the next slide.

23 We also, I mentioned, have been looking at  
24 opportunities to address some of this at a  
25 systems level. And so we've been working with a

1 set of partners to look at opportunities to embed  
2 lead and other environmental health  
3 considerations into national standards for  
4 childcare, including home-based childcare.

5 This has included working with the National  
6 Resource Council to update their caring for our  
7 children standards. These are a collection of  
8 national standards that represent the best  
9 practices, best evidence-based practices, and  
10 experience for quality health and safety  
11 practices for early care in education settings.  
12 These standards are often used, we understand, by  
13 states also in sort of considering their own  
14 guidelines and apply both to center-based and  
15 home-based childcare.

16 We've also been working very closely with  
17 the National Association of Family Childcare, or  
18 NAFC, on their quality standards for  
19 accreditation. They administer what I believe is  
20 the only -- or it's pretty unique, a system like  
21 this that is designed specifically for these  
22 family childcare providers. And so we've been  
23 really excited to work with them as an  
24 opportunity to update their standards.

25 A third example in this category is that we



1 are just beginning some work with the Council for  
2 Professional Recognition who has -- they're based  
3 here in the Washington DC area and they are a  
4 leader in the credentialing of our childhood  
5 educators across the country. They offer some  
6 credentials, including the Child Development  
7 Associate Credential that's recognized by many  
8 states and held by hundreds of thousands of  
9 providers across the country.

10 And so they are working with us on  
11 opportunities to embed environmental health best  
12 practices, including lead poisoning prevention,  
13 into the core competencies of this credentialing  
14 program. And it's worth noting that, you know,  
15 some of the current or previous editions of these  
16 standards have addressed lead poisoning  
17 prevention, but there have still been significant  
18 opportunities to improve these standards and it's  
19 been really great to be able to engage with these  
20 partners to embed that systems change.

21 If we could move to the next slide.

22 I also wanted to say a little bit about some  
23 of the ways that we're helping to build capacity  
24 at the community or local level. And so one of  
25 the ways that we've been able to do that is last

1 year we were able to support one community with a  
2 one-time \$30,000 minigrant with sort of no  
3 strings attached to help them develop or pursue  
4 an idea about how to overcome some of the  
5 barriers to addressing lead in home-based  
6 childcare that we've talked about here today. We  
7 invited ideas from communities across the country  
8 and the -- finalized for the 2022 award was the  
9 City of Allentown Community Housing.

10 However, we are just so impressed by the  
11 innovative response we got from communities  
12 across the country that we provided some  
13 semi-finalist awards of a smaller amount to four  
14 additional communities here. And this is, as you  
15 can see, a mix of more traditional partners in  
16 the environmental health space as well as  
17 partners in the childcare space from Flint,  
18 Michigan; Gwinnett County, Georgia; the rural  
19 panhandle of Nebraska where it's been really  
20 interesting for us to learn about the particular  
21 challenges of rural childcare; and Allegheny  
22 County, Pennsylvania.

23 All of these communities were also offered  
24 optional coaching and all of them have taken us  
25 up on it. And I think it's worth saying that the

1 money here is not intended to solve these issues,  
2 right? \$30,000 is not going to fix any of the  
3 barriers that we mentioned here. It's really to  
4 provide a little bit of space for innovation and  
5 to help support their time for engaging with  
6 resources that we as national partners can  
7 provide.

8 So, for instance, you know, even that little  
9 bit of support helps to really provide a  
10 justification and support the usually unfunded  
11 work of seeking funding from -- you know, that  
12 might be available. And I have to say these  
13 communities are already delivering and we've been  
14 so impressed by their deep understanding of their  
15 communities of this particular audience that  
16 they're trying to serve, their innovation, their  
17 passion for really trying to figure out how to  
18 better serve the childcare providers in their  
19 communities.

20 As just one example, the awardee highlighted  
21 on the screen here is tackling the issue of  
22 service disruption that I mentioned earlier about  
23 how -- you know, childcare homes having to close  
24 their business to actually remediate lead hazards  
25 by highlighting the strategy of establishing an

1           alternate childcare charter location that would  
2           be, you know, inspected up to health and safety  
3           standards that a family childcare could  
4           temporarily locate to while remediations are  
5           underway in their home so that they could safely  
6           continue their operations and not cause  
7           disruption to the families they serve, not cause  
8           hardship to their staff, not cause hardship to  
9           their own business. And I think it's been great  
10          to be in conversation with them because they also  
11          recognize that this is an opportunity to support  
12          those childcare providers in shoring up and  
13          building their business and as a lead hazard  
14          control grantee, they're also really excited.

15                 Matt, I hope your ears are pricking up here  
16                 because they're really excited as they move  
17                 through this work to be able to share their  
18                 lessons learned with their funder, HUD, and see  
19                 if they can spread some of these successful  
20                 strategies to other communities who may also be  
21                 struggling to reach family-based childcare and to  
22                 let them know that these resources exist in their  
23                 communities.

24                 I'd also like to highlight here that we will  
25                 be opening up a new round of funding for

1 communities to be pursuing these types of ideas  
2 and gaining access to coaching and support in the  
3 first quarter of 2023. That funding will be able  
4 to be accessed through the link on the screen.

5 And with that, I think I'll pause and see if  
6 there are any questions. Again I thank you for  
7 the opportunity to highlight homes as part of the  
8 early learning care discussion here today and  
9 welcome any additional questions or follow-up.

10 **MR. AMMON:** Thank you, Amanda. I'm going to  
11 pause for one second. Actually, I'll ask you a  
12 question while I'm waiting.

13 So you mentioned a lot about credentialing  
14 in -- credentialing versus certification, meaning  
15 at the licensure level. You know, I mean, you're  
16 not equating credentialing with licensing and  
17 certification, right? I mean, there's a big  
18 difference between the two. And is that a gap  
19 that you see that really needs to be filled?

20 **MS. REDDY:** Yeah. That's a great question.  
21 And quite honestly, any of my other partners are  
22 probably even better equipped to describe that  
23 whole landscape because there are -- you know, we  
24 could be talking about individual educators  
25 versus the business itself. They're certainly

1 licensed and unlicensed. So, yes, all of these  
2 distinctions matter. We are really willing to  
3 work with any entity that is reaching home-based  
4 childcare facilities, you know, and whether they  
5 are licensed or unlicensed, you know, the -- to  
6 figure out how we can support these businesses in  
7 figuring out how they can address lead exposure  
8 in the home environments. It's quite a  
9 complicated landscape.

10 **MR. AMMON:** Yeah. And we both know it  
11 always is, unfortunately. And I think that's  
12 my -- I don't see any other follow-up questions  
13 right this second.

14 I guess my follow-up question is that, you  
15 know, you and I have talked, too, about  
16 opportunities for change, and, you know, is there  
17 something that needs to happen at the, gosh,  
18 federal level, which I'm not sure that will ever  
19 happen, or are we mainly focused on local  
20 ordinances or local regulations?

21 **MS. REDDY:** Yeah. I think the answer for us  
22 right now is all of the above. You know, for  
23 instance, as I mentioned, again, our -- my  
24 partners. This is why we have partners in the  
25 childcare space -- because I'm not an expert on

1 this -- who, you know, help us to understand  
2 that. For instance, influencing those caring for  
3 our children's standards, that that is a resource  
4 that many states trust when they are thinking  
5 about updating their own guideline -- licensing  
6 guidelines.

7 So we are looking into, you know, any place  
8 that -- whether it's a state, a locality,  
9 individual childcare businesses, associations --  
10 may be going to really seek guidance on what they  
11 should be doing to protect children. We are open  
12 to partnering with all of them to make sure that  
13 lead is a central part of that message.

14 **MR. AMMON:** Got it. Thanks.

15 And the question from Jill Ryer-Powder.

16 **DR. RYER-POWDER:** Yeah. So I was wondering  
17 if there's opportunities for volunteers to go out  
18 and train. I could envision, you know, students  
19 from San Diego State or University of San Diego  
20 or other universities or, you know, even  
21 toxicologists or people like myself going out and  
22 training. Do such opportunities exist?

23 **MS. REDDY:** I know that that's happening at  
24 least on, you know, an informal basis, right?  
25 You know, locally or regionally. But I would

1 love to talk with you more about your ideas there  
2 and how we might be able to spur some additional  
3 action, perhaps building on some of these cohorts  
4 that exist across the country and linking those  
5 regional leaders with experts that may also be in  
6 their region. It's a great idea.

7 **DR. RYER-POWDER:** Okay. I'll follow-up with  
8 you on that.

9 **MR. AMMON:** Yeah. It's a great question.  
10 And I'm willing to go to San Diego to do any  
11 training.

12 **MS. REDDY:** Oh, thanks for taking  
13 (indiscernible).

14 **MR. AMMON:** (indiscernible)

15 **DR. RYER-POWDER:** It's like a blustery 69  
16 today, so ...

17 **MR. AMMON:** Wow.

18 **MS. REDDY:** Wow.

19 **DR. RYER-POWDER:** Yeah.

20 **MR. AMMON:** Yeah.

21 Dr. Allwood.

22 **DR. ALLWOOD:** Thank you, Matt.

23 And thank you, Amanda. It was really very,  
24 very informative. You touched on something that  
25 I -- you know, I'd heard, you know, mentioned at



1 least one other time today, which is that some  
2 childcare facilities and some schools are  
3 reluctant to test not because they don't want to  
4 test but they're afraid kind of of what they  
5 might find out. And then I will -- you know, how  
6 are they going to deal with the cost? And that's  
7 a pretty tough place to be in.

8 And so I just wonder. And, you know, if  
9 Treda is still on, you know, she -- when Treda  
10 spoke to us, she shared that there are these  
11 potential funding sources. And she said there  
12 were like, I think, four federal programs and,  
13 you know, several state programs and foundations.  
14 And so I'm just wondering if that word is getting  
15 out. You know, what's your sense of that,  
16 Amanda, as you speak to your conventions? Are  
17 people hearing about some of those opportunities  
18 and maybe trying to access them?

19 **MS. REDDY:** I think it's variable. I think  
20 in some regions or some localities there's a much  
21 greater awareness and there may just be existing  
22 networks and infrastructure for those messages to  
23 get out, others need a little bit of help. So  
24 even in some of those other communities I showed  
25 on that last slide, some of them the work that

1 they're doing is like, yeah, we know that these  
2 resources exist. We're just helping people sort  
3 of navigate to them, right? And access to them  
4 in other communities, they're just trying to get  
5 up to speed on how they can actually sort of  
6 cobble together those kinds of support and start  
7 to access them. And so I think it's really  
8 variable.

9 And to the issue of liability, Paul,  
10 something that was interesting to me in that  
11 roundtable discussion is it was certainly an  
12 issue. It's something that came up. The  
13 childcare providers all affirmed that it was  
14 something on their minds. But it wasn't as -- it  
15 didn't seem to be the barrier for a lot of those  
16 folks. That didn't seem to be the thing that was  
17 stopping them from taking action. I think some  
18 of those other things were looming a little bit  
19 larger for them, which was a surprise for me.

20 **DR. ALLWOOD:** Uh-huh. Thank you.

21 **MR. AMMON:** This is Matt. Just a follow-up  
22 question. So, I mean, I know that this is a huge  
23 resource for parents too, you know, especially  
24 what we've seen over the last couple of years.  
25 And I think that, you know, it's -- I'm not going

1 to say has flown under the radar, but it really  
2 has in terms of its importance in what parents  
3 have seen as a resource. You know, because it's  
4 so utilized now, it's almost kind of commonplace  
5 outside of a regular facility to have these  
6 childcare facilities. Do you still see it as  
7 sort of better to not be -- better to be under  
8 the radar or better to, you know, have this  
9 ability so that potentially more resources can go  
10 to -- you know, to continue to operate in a safe  
11 and healthy manner. I'm not quite sure. You  
12 know, there's good and bad to both, but what do  
13 you see as the -- like, the landscape of that?

14 **MS. REDDY:** Yeah. I mean, I think we all  
15 know, just even probably from our own personal  
16 lives, how important some of those more informal  
17 and unlicensed childcare arrangements are in the  
18 childcare landscape. And so I think finding ways  
19 that those childcare providers can access  
20 services and supports to make sure that the  
21 children under their care are protected is  
22 something we should all be striving for. I think  
23 that's being done better in some places than  
24 other places, but I -- it's something we can all  
25 continue to work on together.

1           **MR. AMMON:** Yeah. And I think it's if  
2           you -- you know, you all have been good about  
3           being in the space in connecting the federal  
4           programs and the state programs that are out  
5           there to then those that need it most.

6           And I know you were talking about our  
7           grantees who really should be used as a resource,  
8           you know, for this because it's very much serving  
9           that same population because a lot of the -- you  
10          know, the owners that own these properties are --  
11          you know, they're not -- they don't earn a lot of  
12          money. And so this is a way to supplement what  
13          they do. But making those linkages, I think, is  
14          really imperative. And I think that's in a lot  
15          of what we're doing, is finding the right  
16          intermediaries to then not only make the  
17          connections between the resources but actually do  
18          the translation, right? Because a lot of  
19          times -- of course, not our program, it's the  
20          easiest one in the world to use, but translating  
21          what the requirements are and translating being  
22          able to help somebody walk through the process, I  
23          think that -- and that serves as a really  
24          valuable resource for -- a lot of what we do here  
25          is -- and you're on the ground doing that, you

1 know, making those connections and such.

2 And knowing how you all sit at the national  
3 center, both in terms of the open policy and then  
4 making policy recommendations, what are some of  
5 the recommendations you have for, you know, the  
6 collective that we have here in terms of how we  
7 can help?

8 **MS. REDDY:** That's a great question, Matt.  
9 I think we certainly, you know, have lots of  
10 ideas, even coming from this cohort, about  
11 even -- you referenced your own program there at  
12 the HUD Office of Lead Hazard Control which, you  
13 know, historically has been our nation's major or  
14 largest investment in how we address and control  
15 lead exposures.

16 I think there's just even opportunities  
17 within that program. So it's just highlighting  
18 too. In that small cohort of communities, I  
19 highlighted one of the communities. The reason  
20 they reached out for us for technical assistance  
21 is to say, We've been looking at these lead  
22 hazard control grants for years and years and  
23 we've never dipped our tail in that water; we  
24 don't even know where to start. Can we have some  
25 help? It doesn't read like it's for us. And I

1 was, you know, like, emphatically like, This  
2 absolutely is for you. Right? And so we can  
3 help. Right?

4 That's a way that we can sort of leverage  
5 our positional power and, you know, engage and  
6 share those stories back so that, as I know, your  
7 office has been continually interested in  
8 reforming that grant program so that it can be  
9 accessed by a wider range of communities. I  
10 think there's still more work to do. You've done  
11 a lot of work there. I just think there's more  
12 work to do to make sure that more communities see  
13 themselves in that opportunity and are able to  
14 access it.

15 You know, similarly the grantee that I  
16 mentioned that is a lead -- current lead hazard  
17 control grantee who's piloting that strategy --  
18 you know, one thing I did want to mention to your  
19 comment about the unlicensed childcare is I loved  
20 that -- part of the thing that really excited us  
21 about their application was their focus on this  
22 as an opportunity to also engage these  
23 communities, get them connected to other  
24 community resources that would help them to shore  
25 up their business model. And not insist on it,

1 but maybe that gets some of those folks on the  
2 path to actually being licensed or credentialed  
3 in a way that they would sort of fall under the  
4 umbrella of some of the other requirements that  
5 we're talking about.

6 So I think there's a lot of wisdom that  
7 happens in those -- that community level program  
8 on folks like some of our lead hazard control  
9 grantees who really know their community well and  
10 can really engage more meaningfully than any of  
11 us can from the national level. And I know that  
12 particular grantee is really excited about the  
13 opportunity to share their ideas with how, for  
14 instance, the HUD Lead Hazard Control Program  
15 might leverage some of their funding to help spur  
16 and incentivize more grantees to use some of  
17 these best practices to engage with home-based  
18 childcare providers.

19 **MR. AMMON:** Yeah. And I agree. I think the  
20 more that you have available, too, to disseminate  
21 and try to educate, but I agree that a lot of  
22 what we are all doing here is trying to be a  
23 catalyst for other things in terms of expanding  
24 what we're doing outside of the traditional work.

25 **MS. REDDY:** That's right. Right.

1           **MR. AMMON:** I think that what we're hearing  
2 today is stuff that's outside of the normal,  
3 traditional work or programs that we operate  
4 related to funding around lead. So I appreciate  
5 that very much.

6           We're at time for one minute. Do we have  
7 any other questions? I don't think we do.

8           Thank you very much, Amanda. Look forward  
9 to working with you in the future. Thank you.

10 **HEALTHY SCHOOLS NETWORK**

11           **MR. AMMON:** So our next presentation is from  
12 Claire Barnett. She is the founder and executive  
13 director of the Healthy Schools Network, and  
14 she's going to discuss the Healthy Schools  
15 Network.

16           Claire.

17           **MS. BARNETT:** Great. Thank you, Matt.

18           Amanda, thank you so much. That was just  
19 really a terrific presentation. In fact, all of  
20 the presentations have been wonderful.

21           So I think sort of my subhead here, that  
22 there's no such thing as a lead-free school, I  
23 think everybody already gets that. So it'll give  
24 me a little bit of time to figure things out here  
25 and make some changes in the presentation.



1           We're almost thirty years old. Healthy  
2 Schools Network is almost thirty years old as a  
3 501(c)(3) nonprofit. We began in New York State.  
4 We keep a footprint here because we think that  
5 when you deal with schools, you have to keep it  
6 real. And that's what I appreciated so much  
7 about Amanda's comments about working with their  
8 actual providers and getting feedback.

9           Schools are not simple. It really does take  
10 a village. And when we understood and adopted  
11 our mission that every child should have a right  
12 to an environmentally safe and healthy school  
13 that's clean and in good repair, we actually  
14 borrowed that from the New York State Board of  
15 Regents. We've moved it into other  
16 organizations, like the American Public Health  
17 Association, but when you try to actually  
18 accomplish that, you find out it takes a village  
19 to do all of the work.

20           So one of the first things that we did to  
21 accomplish our mission was to establish a  
22 multistakeholder, multidisciplinary committee, a  
23 statewide coalition of education, environment,  
24 public health, labor groups, environmental health  
25 scientists, occupational health people, facility

1 directors, and so forth. And we then morphed  
2 that actually into a national coalition for  
3 healthier schools with a number of different  
4 organizations.

5 Our particular focus is state and federal  
6 policy work. Many, many organizations work  
7 specifically with individual providers or  
8 individual -- in our case, individual K-12 school  
9 districts or individual schools. Our particular  
10 interest is state-level and sometimes city-level  
11 and also federal-level policies, new laws, new  
12 funds to make a difference. One of the reasons  
13 for that is typically the poorest parents in the  
14 poorest communities -- remember that our focus is  
15 children, the poorest parents in the poorest  
16 communities in the poorest schools don't have the  
17 resources to figure out what's going on.

18 One thing a state law does or a city law  
19 does is give everybody a leverage point and more  
20 or less a command point for telling schools what  
21 to do and how to do it. Not that it always works  
22 that way, but that's the intent.

23 So when we engage in an issue which is new  
24 to us -- and certainly lead is not a new issue,  
25 it's thousands of years old, but for us we hadn't

1           paid a lot of attention to it. We did so because  
2           we've gone to the GHHI -- credit to Ruth Ann  
3           Norton who is not here -- strategy session in  
4           2015 because all of us realize that EPA and HHS  
5           were in the business of updating the President's  
6           Task Force on Lead Poisoning Prevention and their  
7           old guidance.

8                     And so when I went to that strategy session,  
9           I was really taken by how it worked and what was  
10          going on, but a little frustrated because nobody  
11          was talking about K-12 schools. And we knew, of  
12          course, there's lead in K-12 schools. So one of  
13          the things we do is engage in convening people.  
14          So we convene multiple stakeholders from various  
15          disciplines. We ask them what their best advice  
16          is and what the technical issues are. And in the  
17          world of environment, very often the best  
18          technical advice on a regulatory issue like lead  
19          doesn't necessarily come from the agencies. What  
20          it comes from is the research from the  
21          environmental organizations.

22                     And once we have a convening, we tend to  
23          work with parents and communities to build out a  
24          coalition to pass laws at the state and federal  
25          level.

1           So with that, if you would turn to the next  
2 slide, please.

3           We're going to talk about eliminating lead  
4 and there's no such thing as a lead-free school.  
5 But to do that, we have to give you a little bit  
6 of our framework. Our framework is that there  
7 are healthy children and healthy children need  
8 healthy schools and vice versa.

9           So we know that children are not just little  
10 adults. They're especially vulnerable to  
11 environmental health hazards. They can't explain  
12 their exposures. They can't identify exposures.  
13 They can't articulate them particularly. They  
14 breathe more air per pound of body weight,  
15 hand -- hand-to-mouth behaviors, and so forth.  
16 By the same token, schools are not just little  
17 offices.

18           And that's a really important concept.  
19 They're very -- they're more densely occupied  
20 than nursing homes. They tend to be in very bad  
21 shape. There are multiple national reports going  
22 back thirty years on the poor environmental  
23 conditions of public schools in the United  
24 States.

25           And every state compels children to attend

1 school. So for purposes of a national  
2 conversation, there are about a hundred thousand  
3 public school facilities, more or less, and  
4 roughly 50 million children in those facilities  
5 on any day and several million staff and  
6 personnel.

7 The pictures here have to with -- that's a  
8 floor fan. That's actually taken from  
9 Philadelphia School District. And why is there a  
10 fan? It's because the building is wet and the  
11 plaster wall is wet and as a result the lead  
12 paint is flaking off.

13 Crumb rubber, which you've heard a little  
14 bit about already, and a closed water fountain  
15 from one my board members in Portland, Oregon --

16 Next slide.

17 -- was in -- in his daughter's school. So  
18 he was particularly motivated.

19 So there are other environmental health  
20 risks as Derek pointed out earlier this morning.  
21 There is lead in old indoor and outdoor paint.  
22 There's lead in products and in turf and in  
23 drinking water. There's also indoor air  
24 pollution with mold and moisture, pests and  
25 pesticides, radon, noise, PCBs -- which may have

1 the same effect as lead on intellectual  
2 development -- asbestos, asthma, and allergy  
3 triggers, chemical uses in storage, poor  
4 ventilation, sanitation and lighting issues,  
5 adjacent hazards, contaminated sites, as well as  
6 deferred and neglected maintenance and other  
7 storm damage. And one of those things that  
8 happens is that will -- those elements can result  
9 in the release of legacy toxics out of the  
10 building, like lead and PCBs and asbestos. So  
11 you saw that in the Philadelphia picture. A wet  
12 building has a -- the roofs leak, everything else  
13 leaks. So the building's going to be wet and  
14 that means that lead paint is probably going to  
15 be released wherever it is.

16 Demolition and renovation of occupied  
17 schools -- and we just heard about that from  
18 Amanda in private home childcare. Demolition and  
19 renovation of occupied schools will create  
20 dangerous new environmental risks for children  
21 and other occupants. There are about a half a  
22 dozen states -- there may be more by now -- that  
23 actually enacted **SMACNA**, the sheet metal workers'  
24 national association guidelines on isolating work  
25 in a large facility like a school building, one

1 200,000 square-foot building, isolating work  
2 that's taking place for demolition and renovation  
3 from the occupants in the building. It is a  
4 little bit dicey. It's hard to do but it is  
5 doable. It isn't necessarily more expensive, but  
6 the best option is what Amanda described, is to  
7 find a swing building, in other words, an empty  
8 building where you can put people temporarily.

9 Next slide.

10 So we -- when we talk about doing  
11 convenings, after we attended the strategy  
12 session from GHHI quite a few years ago, we said,  
13 you know, we have to begin to dig into this a  
14 little bit because we know there's lead in  
15 schools and what are we going to do? So we  
16 developed a shared workshop, a convening that  
17 were 26, 27 NGOs, three federal agencies, a  
18 facilitator, and a number in interns. We do a  
19 workshop report as a starting point.

20 So some of the things that came out of the  
21 workshop include the following. As we know,  
22 there is literally no safe level of lead for  
23 children. The safe level is zero. Lead  
24 poisoning is costly and preventable. I will also  
25 tell you lead remediation is costly. So one of

1 the things that we recommend here is the  
2 primordial prevention at the population level of  
3 lead exposure. So the whole system right now is  
4 rooted in risk assessment: How many children  
5 have been poisoned? How many children are at  
6 risk?

7 The approach recommended on this workshop is  
8 primordial prevention. In other words, let's not  
9 wait for children to be poisoned. Let's remove  
10 lead from the get-go. And I think lead in school  
11 drinking water is an example of that. We know  
12 children are taking in water, school water, from  
13 drinking fountains and from taps and so forth.  
14 And we know there's lead in the water. We're  
15 simply going to remove the lead from the school  
16 drinking water if we can.

17 The other is that the workshop agreed that  
18 schools and childcare facilities -- and I'm  
19 talking here about childcare centers in  
20 larger-scale facilities, fifty or more  
21 children -- are large group settings that offer  
22 an untapped public health opportunity. You can  
23 find a copy of the full report and all of the  
24 breakout sessions at that website right there.

25 Thank you. Next slide.



1           So as you've heard earlier, EPA regulates  
2 lead-based paint but there is quite a bit of  
3 paint. EPA also has a Lead Safe Renovation Rule  
4 which tells you if there is a building -- a  
5 school, that is, or a childcare center that  
6 routinely has children of the age six and under,  
7 that it must follow the Lead Safe Renovation  
8 Rule.

9           City of Philadelphia found that it had to do  
10 more than the Lead Safe Renovation Rule because  
11 there is a height standard within that that you  
12 only go so far up the wall for the renovation.  
13 But in Philadelphia, which has many, many old  
14 buildings -- a hundred years old, eighty years  
15 old -- there's quite a bit of lead and not a lot  
16 of money to do the remediation. What they found  
17 was that the wet buildings were having their lead  
18 paint flake off the ceilings and there were  
19 children eating the paint chips off their desks  
20 and off the floor. So you have to do a lot more  
21 than the Lead Safe Renovation Rule in many, many  
22 old school buildings across the country.

23           So our recommendation clearly was to  
24 strengthen and expand the regulations. And the  
25 pictures again on the bottom are flaking lead

1 paint from pipes, on the walls, and in art  
2 supplies.

3 Now, one of the things about the art  
4 supplies in the cabinet there is that the bins  
5 are PVC plastic and PVC plastics also contain  
6 lead.

7 Next slide.

8 So this is a complicated slide and all  
9 credit to some of our workshop people who  
10 participated. And this comes from a group called  
11 the Healthy Building Network. If you don't know  
12 them, you do need to know them because they  
13 specialize in rating equipment, products, and  
14 supplies for building materials.

15 In this case, several of the pictures are  
16 about synthetic turf. So there's the crumb  
17 rubber, there's the soccer player, there's a  
18 running track, there's infill on the far left in  
19 the center. In the middle, we'll point out  
20 there's fly ash in carpeting and in ceiling  
21 tiles, both of which will be in the schools. On  
22 the right is vinyl flooring. PVC vinyl contains  
23 lead, and it will be released when the floor is  
24 buffed. It's also in TV screens and iPhone  
25 screens. So you can see right away there is

1 really no such thing as lead-free anywhere.

2 So one of the things the workshop  
3 recommended was something called lead-free  
4 purchasing specifications.

5 Next slide.

6 There's an example of this. There is an  
7 entity in the world called epeat which stands for  
8 electronic product environmental assessment tool  
9 and epeat rates computers and phones and  
10 electronic devices, iPads, and so forth. They  
11 started with energy but they also do embedded  
12 toxics. And a lot of the electronic devices we  
13 are all using not only contain lead but also  
14 contain other heavy metals.

15 So we took that and we created a purchasing  
16 spec for PCs, computers, notebooks, and tablets.  
17 Some of their standards have changed off and on  
18 again over the last three or four years when we  
19 first did this. But we did do some model  
20 contract language for schools and tips for parent  
21 associations. One of the things a parent  
22 association could do if the school is unable or  
23 doesn't have the person power, front office power  
24 to do a contract specification and do a group  
25 purchasing, as parent associations highly

1 motivated might do it. It's a little bit  
2 complicated but it could be done.

3 One of the things I didn't mention about  
4 synthetic turf is it's actually been around for a  
5 long time. I think there is not a state anywhere  
6 in the country that does not have schools and  
7 municipal playgrounds and childcare facilities  
8 with synthetic turf. CDC, in fact, has published  
9 in *Environmental Health Perspectives* back in  
10 2008, and in June of 2008 issued a public health  
11 alert through June of 2008, MMWR, on synthetic  
12 turf that was shedding lead. And the example  
13 they cited was a green grass turf that was  
14 outside a childcare facility in Anchorage,  
15 Alaska, I think, and it was shedding 5,000 parts  
16 per million lead. So when the children were  
17 outside, they were playing on lead.

18 Thanks. Next slide, please.

19 So eliminating lead in schools and in  
20 drinking water is a really interesting topic.  
21 For us, as an organization that's almost thirty  
22 years old -- and we've done a lot of work on  
23 state law and some federal laws -- it's really  
24 complicated. It's one of the more complex issues  
25 that we feel we had to address in a long time.

1           So the workshop recommendation was lower  
2           action levels. You heard about the action level  
3           at EPA. It used to be 15 parts per billion. The  
4           action level was eliminated in 2019. There was  
5           no action level cited. It would be a good thing  
6           to restore that just to set a bar, don't go above  
7           this.

8           And also federal funding is really key. So  
9           one of the things you need to know about what EPA  
10          does, it also certifies something called  
11          lead-free fixtures. Unfortunately, what EPA  
12          certifies as lead-free is not. The fixtures do  
13          contain lead but a reduced amount. And this  
14          proved to be really complicated when it came to  
15          writing state legislation, draft a -- bill  
16          drafting. In fact, all of the fixtures contain  
17          lead.

18          As you know and you heard earlier, EPA does  
19          regulate lead in plumbing, pipes, and plumbing  
20          fixtures, but it does not regulate lead per se in  
21          school water. EPA's 3Ts program, Train, Test,  
22          and Tell was the original name. I was an advisor  
23          on the group that set up the 3Ts many, many years  
24          ago but didn't interact on the last version of  
25          it, where Train, Test, and Tell became Train,

1 Test, and Take Action guidance, which is very  
2 helpful.

3 One of the things to look at as a resource  
4 here is a USGAO report on lead testing in school  
5 drinking water that was done in 2018. It's very  
6 helpful in terms of what could be improved and  
7 what needs to get fixed. I think some of that  
8 has happened, but there's always more -- there's  
9 always more to do when it comes to lead.

10 Next slide, please.

11 So I'm going to tell you a little bit about  
12 New York State and lead in drinking water. And I  
13 think, given the amount of information you  
14 already have, I'll hit a few things here. But  
15 I've been making some notes and I'm going to give  
16 you a list of things that we had to deal with at  
17 a state level in bill drafting and negotiating  
18 what was going to happen with lead in school  
19 drinking water in New York State.

20 First of all, the governor's bill passed the  
21 legislature unanimously, both houses, and it was  
22 signed in September of 2016. What it did is it  
23 established a surveillance program,  
24 once-every-five-years surveillance program,  
25 operated by the New York State Department of

1 Health. It meant that every school had to test  
2 every tap. Every public school test every tap  
3 and report results to the New York State  
4 Department of Health. The reported cost of  
5 testing at the tap for that first round was  
6 \$22 million. If you go back to the slide from  
7 EPA, you will know they're giving out about  
8 20 million -- twenty to twenty-five million  
9 dollars a year. It costs 22 million in one state  
10 to test all the taps.

11 The State Department of Health and state had  
12 challenges. There were quite a few. One is  
13 public-health capacity to help schools because  
14 really it's a complicated process to do the  
15 draws, to get the lab results, to understand how  
16 to explain them, and so forth. It is a big  
17 public-health challenge. And local public health  
18 needs the capacity to help schools, not just the  
19 state level but at the local level you have to  
20 have more people available to do this.

21 Also well-staffed qualified labs, the  
22 capacity to test samples and do a quick  
23 turnaround. The other problem that the state  
24 experienced was that school districts, in our  
25 case in New York, were able to upload their own

1 results to spreadsheets that the state  
2 maintained. But it was difficult for the state  
3 to kind of clean and merge the test results that  
4 were being reported and verified. That was a  
5 difficult task, very time-consuming.

6 One of the good things, though, is that none  
7 of the New York State public schools, including  
8 New York City, reported lead service lines. So  
9 some of you have heard about lead service lines  
10 and maybe schools have them. They actually  
11 don't. So the reason is that lead is very heavy,  
12 literally heavy. And a building, school  
13 building, is very large. So a lead service line  
14 is going to be a skinny pipe, not a big round  
15 pipe. And it takes a big round pipe to actually  
16 get the right volume into a school building to  
17 provide water.

18 Now, where there are lead lines but not  
19 technically called service lines -- where there  
20 are lead lines is inside the walls or you may  
21 actually have a line that goes from the main  
22 building to a portable or a main building to a  
23 ball field, to the dugout area, or whatever. So  
24 they're not totally out of the world of lead  
25 service lines, but eliminating service lines to



1 schools you're not really going to find a lot of  
2 lead.

3 So we also passed another law. It was five  
4 years later. So 2021, the New York state law was  
5 to remediate to five parts per billion not  
6 fifteen. We took it down a notch. Remediate to  
7 five parts per billion and report. And there are  
8 no exemptions to lead-free.

9 And this is the catchall that we had to  
10 negotiate. When the bill passed in 2016, it  
11 contained language, which we as a coalition in  
12 the state thought we had eliminated in  
13 negotiations and clearly we didn't, that any  
14 school that installed new, quote/unquote,  
15 lead-free fixtures could be termed, quote, a  
16 lead-free school and would be exempt from any  
17 further testing.

18 So there are a couple of problems with that.  
19 One is there's no such thing as a lead-free  
20 school. The second problem is that according to  
21 Virginia Tech, which has done incredible work on  
22 lead in school drinking water and lead in  
23 drinking water generally, according to their  
24 research, it takes approximately 1,000 draws per  
25 tap -- 1,000 draws per tap, 1,000 samples for

1 every tap -- to determine an average amount of  
2 lead that will be released from that tap.  
3 Nobody's going to do that.

4 So its testing at the tap for lead has  
5 problems. One is it doesn't mean that -- just  
6 because you reach five during the sampling period  
7 today, it doesn't mean if you retest tomorrow or  
8 a week from tomorrow that you're going to find  
9 five again. You could find fifteen. You could  
10 find fifty. But what it will do is it will clear  
11 out probably most of the worst taps. It's really  
12 interesting.

13 Next slide.

14 Actually, I'm sorry, backup if you would  
15 please. Back up a slide. Thanks.

16 So as I promised you, I've been making some  
17 notes here about all of the things that we had to  
18 think about when we were doing bill drafting. So  
19 how is this going to work for schools? We had  
20 different kinds of opposition. We had opposition  
21 from people. People said, It's just not a  
22 problem. Where is the evidence that children are  
23 being lead-poisoned in school? Remember, this is  
24 primordial prevention. Where is the evidence?  
25 That's one problem.

1           The second problem is how do you make it  
2 work? There was opposition from the lead lobby.  
3 The leads lobby lobbies EPA heavily. They  
4 lobbied heavily in New York. Then there are  
5 other people who are also opposed: state school  
6 board associations, school superintendents,  
7 school administrators, school facility directors.  
8 They're very concerned, one, is it really a  
9 problem? Why do I have to pay attention to it as  
10 opposed to library books and computers? So  
11 that's one issue. Why do I have to do this as  
12 opposed to teacher salaries? So we had to have  
13 extensive conversations with all of the  
14 constituency groups to either get their buy-in or  
15 help them decide that they did not want to oppose  
16 something outright. And that's complicated.

17           So just a few issues in testing. One is  
18 what is the testing process? How do you train?  
19 How do you certify somebody who's actually going  
20 to do the sampling at a school level, including  
21 all of those taps? How is that accountable? How  
22 do you get access to the test results? Are there  
23 lead service lines? What is the signage if you  
24 have taps that run high and you haven't fixed  
25 them yet? The law -- our law is that you shut

1 off the tap and you have to put signage on it.  
2 How do you get access to the labs? Do the labs  
3 have sufficient staff? Is the public health  
4 capacity to address the issues that the state  
5 education office will have, let alone the LEA,  
6 the local education agency? Is there a facility  
7 director capacity? You have to remember that  
8 superintendents and administrators and  
9 schoolteachers don't do this sampling. The  
10 sampling gets taken care of either by an outside  
11 contractor or in-house staff, meaning custodial  
12 workers and facility directors. And there are  
13 not enough of those already. Where's the  
14 regulatory oversight? And then the big problem  
15 of unknown cost to remediate.

16 In the end, I think we spent -- the state  
17 reported there was \$22 million spent in testing  
18 at the tap and the eventual remediation in a  
19 first round of testing that took place in  
20 2015-2016 was about -- was somewhere between  
21 seventy and eighty million dollars to remediate.  
22 So that's a problem.

23 And then remember, if you do find lead in a  
24 tap and you haven't remediated the tap yet or  
25 replaced it, you have another problem which is

1           how you provide water. So the first bill did not  
2           re -- the first law did not require providing  
3           free drinking water to children because schools  
4           simply didn't do it. But the new bill does. If  
5           you're shutting off the taps, which there are  
6           some schools that simply shut off all their taps,  
7           then you're going to have to provide free water  
8           either in stations in the cafeteria and placed  
9           around the building or in bottled water.

10                    Another issue was the lead-free  
11           disinformation. And it was a serious problem  
12           that we had to tackle head-on in extensive  
13           conversations with the consistency groups to pass  
14           the second law. They received that waiver and  
15           did not have to test at the tap once they had  
16           installed things. And they wanted to call  
17           themselves lead-free schools. And that really  
18           took a lot of work to undo.

19                    And finally, who pays? So within, you know,  
20           we're asking questions of EPA: Where is the  
21           money going to come from? But in the states  
22           people are also asking where does the money come  
23           from? Will this come from the health department?  
24           Will this come from the education department?  
25           Will this come from the environment? Will this

1           come from US EPA? What's the source of the  
2           funding? There's a comfort level with K-12  
3           schools because they're used to working with  
4           state aid for education. So they're setup to  
5           recognize and pull that into their accounting  
6           systems. The pulling in money from other sources  
7           starts to create a difficulty. And they don't  
8           have the ability or have to add capacity to lobby  
9           another state agency.

10                    So they're very comfortable with their lobby  
11           relationships with the state education  
12           department -- this will happen across the  
13           country -- but they have less comfort with a  
14           health agency or the environment agency because  
15           they just don't have to interact with them that  
16           often.

17                    So I think that gives you a taste of what  
18           happened. So it's one thing to have a voluntary  
19           standard. It's another to create a state law or  
20           a city law and have it take effect and get the  
21           results that you want to get. I will tell you  
22           lead is very complicated to deal with as a lobby  
23           kind of operation. There are a lot of ins and  
24           outs. Testing at the tap doesn't eliminate lead,  
25           as you know. What it will do is tell you how

1 much there is, more or less.

2 So there was an effort for a while, and it  
3 hasn't disappeared entirely, an effort called  
4 Filter First. In other words, let's put lead  
5 filters on all of the drinking water systems and  
6 then we don't have to test for lead at the tap.  
7 And so that's still something that needs to get  
8 worked out because there are complications with  
9 filter systems.

10 Flint was doing quite a bit of work on lead  
11 filtering for a while. But one of the side  
12 effects of having intense filtering and carbon  
13 filters is it will also take out the chlorine and  
14 the disinfectants that have to go into public  
15 water systems. So that raises a whole nother  
16 host of questions.

17 We had a problem with the idea of Filter  
18 First in schools because we know that schools  
19 generally are not well maintained. A filter is  
20 something that has to get replaced periodically.  
21 And if they're not -- if they have not been up to  
22 speed on installing clean filters in their  
23 mechanical ventilation systems, why would we  
24 think they would be great at installing filters  
25 on water systems? So we haven't given up on

1 Filter First, but there are a lot of  
2 complications at issue and they're very technical  
3 issues. And I would suggest that people that  
4 want to go that route need to spend a lot of time  
5 talking to the people in Michigan and in Virginia  
6 Tech because there's a lot of background  
7 information there.

8 So next slide, please.

9 So we anchor a bit of work. We anchor the  
10 Coalition for Healthier Schools which champions  
11 federal and state environmental health policies  
12 for children.

13 Next slide.

14 We have some current interests beyond lead.  
15 Clean Air in Schools Challenge, which the Biden  
16 Administration gave to US EPA. Indoor air is  
17 actually our founding issue as an organization.  
18 COVID, Climate, Children in Schools, we're really  
19 focused on disasters in children. There's a  
20 summit report on our home page. We just had a  
21 national summit back in April. One of the  
22 reasons I was very interested in doing that is  
23 because I'm a member of the National Advisory  
24 Committee on Children's in Disasters, hosted by  
25 Health and Human Services.



1           We're very excited about a New York State  
2           bond act. We were part of a very large  
3           state-wide campaign -- believe me, we didn't run  
4           it, much too big -- run by primarily the League  
5           of Conservation Voters and the Natural Resources  
6           Defense Council and some of the other large  
7           state-based and nationally-based organizations.  
8           It was a \$4.2 billion state bond act for clean  
9           water, clean air, and green jobs, and it passed  
10          by 67 percent, even in red districts. So we were  
11          very, very excited to have that.

12           The other reason we're excited is because we  
13          were able to negotiate that K-12 schools, public  
14          schools, would be eligible up and down the bond  
15          act for some of their -- for the funding  
16          opportunity should it pass. So that was an  
17          exciting event. Just happened a few weeks ago.

18           And, finally, the New York State 2021 Lead  
19          in School Drinking Water Law, the regs haven't  
20          been announced yet. The draft regs should be out  
21          shortly, but they will take effect. It comes  
22          from the State Department of Health. I'm sure  
23          everybody's lobbying to see what's going to  
24          happen with all of that.

25           Our best partners, working on a lot of

1 environmental organizations, are not the school  
2 constituency groups. This is a -- school  
3 constituency groups tend to be organized by job  
4 title. The job titles are, you know, school  
5 superintendents, association administrators,  
6 association teachers, and so forth. The  
7 associations are responsible for their members.

8 And we have had good support from the unions  
9 generally, good support off and on from school  
10 facility directors. But the best support in  
11 terms of getting something done at a city law  
12 level or a state law level are environment and  
13 public health organizations and grass roots  
14 groups.

15 The school constituencies will come along  
16 eventually, but you have to spend time to be able  
17 to do that.

18 Next slide.

19 So one of the things we did -- and it was  
20 seeded by EPA many years ago and we're very  
21 grateful to EPA -- is they helped us set up  
22 something called National Healthy Schools Day in  
23 2006 maybe. Anyway, coming up, Tuesday,  
24 April 4th, which is Tuesday in Public Health Week  
25 is the 21st Annual National Healthy Schools Day.

1 We have always had EPA with us for that. We have  
2 always had the American Public Health Association  
3 with us for that, sometimes NCHO (ph). We've had  
4 CDC a couple of times and we'd love to have them  
5 back in the fold again. So I hope all of you  
6 will pay attention to National Healthy Schools  
7 Day. We have not set a theme yet but we have to  
8 do that pretty quick so everybody knows what's  
9 going on. I suspect because of EPA's new Clean  
10 Air Challenge, we'll probably stay focused on  
11 indoor air. But in -- last year or a year ago,  
12 we did our national summit for National Healthy  
13 Schools Day. Before that we were focused on  
14 COVID and infection prevention and control plans  
15 in schools.

16 So next slide. Thank you.

17 That's our homepage: [healthyschools.org](http://healthyschools.org). We  
18 have a whole separate section on cleaning for  
19 healthy schools. Those are green products for  
20 schools and green cleaning products for schools  
21 which we launched in 2005 in New York State,  
22 followed by getting the same thing in place in  
23 about twelve other states. I think the thing  
24 we're happiest about is it seeded a New York  
25 statewide green procurement program, operated by

1 the Office of Environment Conservation and the  
2 Office of General Services.

3 So thank you very, very much. And we're  
4 very open to questions and I hope we've got a  
5 little bit of time. Thank you.

6 **MR. AMMON:** Thank you very much, Claire.  
7 Sort of a bit sobering if you think about  
8 everything in totality. As questions are being  
9 keyed up, you know, it does strike me that -- so  
10 back in the day, I helped EPA operate their  
11 Asbestos School Hazard Abatement Act, right? The  
12 program that funded --

13 **MS. BARNETT:** I hear a -- yeah, they do have  
14 that but it was defunded. So what's happened in  
15 some of the states is the states aren't  
16 collecting any of the forms anymore --

17 **MR. AMMON:** Yeah.

18 **MS. BARNETT:** -- because there's no longer  
19 any EPA money.

20 **MR. AMMON:** Yeah. No, no, no. I know that.  
21 I guess my point was that --

22 **MS. BARNETT:** (indiscernible)

23 **MR. AMMON:** So we had this, you know,  
24 multiyear program on, yeah, asbestos. And it was  
25 successful. You know, we put -- there was a lot

1 of money that was put out to schools. And it  
2 strikes me that there isn't a parallel companion  
3 law or initiative yet related to lead. You know,  
4 because if you think about -- I mean, for the  
5 most part, you know, what we were funding under  
6 the ASHA (ph) Act were, you know, boilerplate,  
7 right? TSI, right?

8 **MS. BARNETT:** Uh-huh.

9 **MR. AMMON:** Most of it was inside the boiler  
10 room. And again it's just -- this is just my  
11 comment. It really just strikes me that there  
12 isn't a companion bill for lead yet in schools.

13 But -- and one thing I did want to ask you  
14 is that -- so, you know, I think there's this  
15 balance of voluntary programs versus mandatory  
16 programs, right?

17 **MS. BARNETT:** Right.

18 **MR. AMMON:** And so are there any incentives  
19 built on the voluntary program side to encourage  
20 schools to do this work?

21 **MS. BARNETT:** Well, I think that's what  
22 EPA's grants to the states -- I think Treda made  
23 that announcement and I think I'd picked up on  
24 that earlier. EPA has given money to all of the  
25 states, Washington DC, the districts, the

1 territories to initiate testing at the tap. So  
2 that's a major step. And I think that had  
3 happened by last year. So that's an incentive  
4 job.

5 **MR. AMMON:** I guess --

6 **MS. BARNETT:** How you -- but how you take a  
7 -- you know, what -- and the real issue is if you  
8 find it, you have to deal with it. And that's  
9 why --

10 **MR. AMMON:** Right. That's --

11 **MS. BARNETT:** -- aren't -- that's why people  
12 aren't touching PCBs. If you find them, you have  
13 to remove them.

14 **MR. AMMON:** Yeah. And I think that's the  
15 big gap here is that all the money or all the  
16 incentives are built on the investigation side  
17 but not so much on a remediation side. And I  
18 think, you know, that's, you know, a real  
19 opportunity for something to be put in place so  
20 that you have that continuum. I mean, can you  
21 imagine if we only had lead money in our program,  
22 you know, \$500 million just to do lead  
23 inspections but we couldn't do any work. You  
24 know, I think that the value of putting dollars  
25 out to do this work allows you to do both of

1 those things so that it isn't just a "Hey, we  
2 found it," but "Oh, now we (inaudible) source of  
3 funding to do something, do something about it."

4 **MS. BARNETT:** Well, I think the -- you know,  
5 the one thing to think about is the testing is  
6 cheaper by a large margin than the actual  
7 remediation.

8 **MR. AMMON:** Of course, uh-huh.

9 **MS. BARNETT:** Right?

10 **MR. AMMON:** Right.

11 **MS. BARNETT:** I can get you the -- I don't  
12 have the actual numbers in front of me right now.  
13 I can get you the actual cost of statewide.

14 The other thing that I'm curious about in  
15 when EPA is giving money to the states to pilot  
16 testing at the tap for lead is whether they're  
17 telling schools they have to test all of the taps  
18 or just selectively pick a few of them. I think  
19 it's the latter.

20 **MR. AMMON:** I couldn't answer that, but  
21 somebody --

22 **MS. BARNETT:** No, we don't know. Don't  
23 know.

24 **MR. AMMON:** Yeah.

25 **MS. BARNETT:** It's complicated. We don't

1 know.

2 **MR. AMMON:** Yeah. I mean, I will tell you  
3 if we're making any parallels at all, so our, you  
4 know, Lead Safe Housing Rule and our Chapter 7  
5 Inspection Protocol for housing doesn't make you  
6 test every single unit. So it's basically a  
7 percentage of units and what you find and can be  
8 extrapolated, you know, to the larger property in  
9 general.

10 And, again, I'm not -- I don't know and I  
11 don't know if Jeanne knows or anybody else  
12 knows -- I'm sure they do -- what the answer  
13 would be regarding that. But that is  
14 interesting. You know, and I have walked into  
15 schools where you may see some faucets that are  
16 available and some that are completely covered  
17 over, right? Just inoperable, completely covered  
18 over, and, you know, I don't want to start  
19 anything. I'm already a troublemaker at school  
20 enough but I do (indiscernible). There are other  
21 things I've talked about, like the camps that  
22 have bedbugs, but forget about that.

23 But, yeah, I mean, I think that's something  
24 that would be interesting. It's just, you know,  
25 I think -- again, this is a huge, you know,



1 opportunity, I think, to kind of shift the  
2 landscape we're looking at in terms of funding.  
3 And even our programs -- and I know we're up on  
4 time here. In our programs on the assisted side,  
5 you can assume lead, right? You can assume and  
6 just do it.

7 So you don't have a test, you can assume and  
8 do it. So I don't know -- I'm sure that's beyond  
9 the pale here because people are looking for some  
10 funding, but you don't -- if you know the age,  
11 you can just do it, right? But I know it's  
12 easier said than done, easier said than done.

13 But it was great information, Claire. I  
14 really appreciate it. Very insightful and very  
15 thoughtful. Again, a bit sobering, I think. A  
16 lot to think --

17 **MS. BARNETT:** It is. It is. And we're --  
18 one of the things that I want to add here,  
19 I'll -- there has a -- an addition to the Clean  
20 Air Challenge for EPA which is currently  
21 unfunded, which is a little bit crazy. But at  
22 the same token, we are in support of a bill  
23 that's been floating around the House and the  
24 Senate for four or five years now called Rebuild  
25 America's Schools. And that's a hundred billion

1 in over ten years. That's not gone anywhere.  
2 It's not in BIL, it's not in Bipartisan  
3 Infrastructure, it's not in IRA. It's not  
4 anywhere. And there's insufficient funds in  
5 ARPA, insufficient funds in other places.

6 **MR. AMMON:** Yep.

7 **MS. BARNETT:** So there have been -- there's  
8 been a great deal of money spent on public  
9 schools in the last few years, all to the good.  
10 There's no question that they need it. There's  
11 no question that they've got a great  
12 (indiscernible).

13 **MR. AMMON:** Right.

14 **MS. BARNETT:** But the business of buildings  
15 and grounds is a very, very difficult issue.  
16 It's difficult for the schools because they're  
17 not -- school leaders, superintendents, board  
18 members, administrators actually aren't required  
19 to know anything about buildings and grounds as  
20 part of the certification.

21 And they're complicated. It's where there  
22 are, in addition to personnel rules and regs,  
23 which is what takes up a lot of board time  
24 sometimes at a local level -- there's an awful  
25 lot of work that needs to take place on buildings

1 and ground. Some of it's regular. It's going to  
2 be water. It's going to be use of pesticides.  
3 It's going to be almost everything else you can  
4 think of.

5 **MR. AMMON:** Right. I appreciate --

6 **MS. BARNETT:** It's complicated.

7 **MR. AMMON:** Thank you very much again for  
8 the presentation and we look forward to talking  
9 with you again.

10 So at this --

11 **MS. BARNETT:** Thank you. Thank you so much.

12 **FACILITATED DISCUSSION**

13 **MR. AMMON:** Thank you very much.

14 Now, come 3:45, 3:47, we're at the point of  
15 the facilitated discussion. This is -- has been  
16 the only time during the day for all of us here  
17 to really have an opportunity to talk and, you  
18 know, to really think about what we've heard  
19 today and really opine on it.

20 But it will be important to be able to hear  
21 from everyone on LEPAC and the participating  
22 members so we can get a good set of not only  
23 comments from everybody but, you know, thoughts  
24 and things of that nature.

25 So I'm just going to open it up with framing

1 of -- and I wrote some notes down, just in terms  
2 of thinking about what I wanted to say in terms  
3 of stringing all of these together. And so one  
4 of -- the first thing I'd wanted to hear from  
5 people are just, you know, what are your general  
6 takeaways from the presentations and discussions  
7 today? As a first measure, just to, you know,  
8 kind of, you know, internalize and really think  
9 about what we've heard today around the  
10 discussions.

11 And I'll start from the notes I took. And a  
12 lot of it was really built on -- from not only  
13 what we heard from everybody, but Claire actually  
14 brought it all together actually in her  
15 presentation, some of the issues, again, in terms  
16 of just thinking about what are the key takeaways  
17 from today?

18 And, you know, for me the first one is that  
19 change is always hard, right? I mean, change is  
20 a constant but it's always hard. And when we try  
21 to change systems, there are a lot of different  
22 factors that need to come together to be able to  
23 be successful in something.

24 And so it is. Anything that we're doing  
25 that we want to be able to change and improve is

1 hard. But one of the biggest things that we've  
2 heard, again, strung throughout all of the topics  
3 today is really this notion of building  
4 coalitions, right? It's imperative. It's  
5 imperative to be able to build coalitions and it  
6 can start with just, you know, one person and the  
7 next person and the next group and next group.  
8 And a lot of that is also thinking, you know,  
9 outside the box about different ways to get to  
10 the same outcome.

11 And, you know, when I talk to different  
12 groups, it always amazes me that when you have,  
13 you know, a disparate number of groups that, you  
14 know, all they're doing -- all their activities  
15 are slightly different or, you know, they're  
16 speaking different ways of accomplishing things  
17 but, you know, at the end of the day, they -- we  
18 all have the exact same outcomes, right?

19 And in this case, it's really looking at  
20 improving schools for better health outcomes for  
21 kids. And part of that, again, is building these  
22 networks and these coalitions so that we get  
23 better ideas, we get better feedback, we can  
24 develop better plans in terms of solving the  
25 problems.

1           So, again, building coalitions is really  
2 what I got out of the presentations today. And I  
3 did hear a lot about capacity issues. I mean, I  
4 think capacity issues, obviously, for a lot of us  
5 really hinder progress.

6           In my program I have that same thing. So I  
7 have -- there's a lack of certified contractors  
8 around the country to do the work in our grant  
9 program. And when we look about -- I mean,  
10 people mentioned lab, you know, funding and  
11 things of that nature, but when you look at folks  
12 to do testing, labs, remediation, I mean, other  
13 things of that nature, capacity -- I'm boiling  
14 that to capacity -- you know, that really does  
15 hinder progress.

16           And so I think it's important that these  
17 issues continue to get elevated so that people  
18 recognize that there is an avenue for people to  
19 engage but also do the work, right? And help  
20 build that capacity. So that was another thing  
21 that I got out of it.

22           The other thing is you may not get it right  
23 the first time, right?

24           Claire, you mentioned it. You mentioned  
25 that, hey, we didn't get it right the first time.

1 We made some changes. And I think in the history  
2 of all of our programs, there isn't a single  
3 program that hasn't learned something along the  
4 way that has changed their program and then tried  
5 to make improvements. And I think all of us do  
6 that on a regular basis. You don't have to get  
7 it perfect the first time is my point. And I  
8 think we always want to have that be perfect.  
9 Like, we'll get it right, we'll get it right, and  
10 then some minor thing comes up and it kind of  
11 snowballs into different things.

12 But that's why I think the value of  
13 partnerships is what everyone talked about  
14 because as you come up with an issue, there is  
15 always somebody out there that has run into the  
16 same issue that can help solve it.

17 And the last thing to open this up for  
18 everybody, and it is a common theme, is that, you  
19 know, tenacity and persistence is vital, having  
20 that one person or that one group very much  
21 focused on driving change, right, being  
22 persistent, being on top of knowing what needs to  
23 happen and knowing -- you know, being passionate  
24 and compassionate about that work. You know,  
25 that persistence is really key at not giving up.

1           There are so many things that we could've just  
2           walked away and say, well, it's -- you know, like  
3           I mentioned in the beginning, change is too hard.  
4           I can't do it. You know, there's no way I can  
5           get around the bureaucracy. But, hey, if you can  
6           make change in New York State, you can make  
7           change in many other areas around the country.  
8           And that was a big takeaway for me is that, you  
9           know, tenacity and persistence is really vital.

10           So that's me just kind of running from  
11           little notes here on what I saw as strong  
12           throughout all of the presentations. And I not  
13           only want to open up, I really, really would like  
14           to hear, even if it was a word or two, from folks  
15           on what they thought as well and what their key  
16           takeaways were from the presentations and  
17           discussions.

18           So let me open it up and, again, we can just  
19           kind of go down the list if you want so everybody  
20           has an opportunity to speak, everybody should  
21           speak because, again, this is really a coalition  
22           of all of us learning from each other.

23           So on my list I see Dr. Allwood. Would you  
24           like me to start with you?

25           **DR. ALLWOOD:** Thank you very much, Matt.



1           And, you know, I'm pretty blown away by, you  
2 know, today's proceedings, all of the information  
3 that was shared. There's, you know, a number of  
4 things that were eye-opening to me, you know, and  
5 also very thought-provoking.

6           One thing that I keep going back to, you  
7 know -- and there's a lot of things in here and  
8 it will take me a little while to unpack all of  
9 it and have all of its finest level. But, you  
10 know, the idea that we have schools and childcare  
11 facilities that are not even wanting to test  
12 because they're afraid of what they'll find out,  
13 you know, is really -- you know, it's very  
14 troubling. You know, it's a troubling thing for  
15 me.

16           And then I -- you know, there's a -- it's  
17 not that people want to be bad, why they're not  
18 doing the tests, it's just because of the sheer  
19 magnitude of the financial burdens that people  
20 understand that they're likely to incur. Not  
21 only that but, you know, we've also heard today  
22 that there may be some regulatory issues and  
23 liabilities and all that.

24           You know, and while that is, you know,  
25 definitely, I think, something that we have to

1 be, you know, considerate of, we have to think  
2 about it, we have to understand it. I also go  
3 back to what I know, that there are studies that  
4 indicate that there is a pretty fantastic return  
5 on investment for every, you know, childhood lead  
6 exposure -- or child lead poisoning case that we  
7 prevent.

8 So I'm just kind of wondering to myself.  
9 And I don't have that answer and maybe there are  
10 people here who are more gifted ecologically than  
11 myself.

12 How can we kind of resolve those two things,  
13 I mean, if we know we're going to ultimately  
14 realize savings when we prevent children from  
15 becoming lead poisoned? And so how can we, you  
16 know, leverage that information to have more  
17 resources for the preventative piece, like Matt  
18 spoke about, or not (inaudible) piece but the  
19 take-action piece, you know?

20 So, I mean, I know I don't answer any  
21 questions there, but I just thought I would put  
22 that up on the table and -- you know, just to  
23 give an idea of where my mind is at the moment.

24 **MR. AMMON:** Thank you, Dr. Allwood.

25 Tammy.

1           **MS. BARNHILL-PROCTOR:** Hi. For me it has  
2 also been a sobering conversation. And hearing,  
3 you know, the many funding streams that have been  
4 put out there by EPA and other programs, but yet  
5 we still have some hesitancy for folks to lean  
6 into what we all know we need as healthy  
7 environments for students and childcare centers.

8           I -- a couple of things I wrote down for me  
9 was that it seems to be a huge gap in educating  
10 those persons who have the power to make policy  
11 decisions and to make, even on a smaller level,  
12 decisions in their own communities about how to  
13 move forward, why you test, and the resources to  
14 support testing and then the resources to support  
15 remediation.

16           I think there has -- I hear that there's  
17 probably some challenges around what that means  
18 for systems, programs to move forward with  
19 remediation. I think one of the speakers talked  
20 about that when she talked -- I think it was  
21 Amanda talking about what it means when you're  
22 going to -- for remediation, having that  
23 temporary location which when you think about it  
24 is more money needed, funding needed, to move,  
25 you know, a whole school or a whole program to

1 another location while you go through the  
2 remediation process.

3 I think there's a need -- I hear that  
4 there's a need for the capacity issues. I think  
5 Claire talked about, you know, superintendents  
6 and principals are not wired to focus on their  
7 school environment. The janitor's cleaning the  
8 building, the building's clean, parents are okay,  
9 students, you know, have the seats to sit in, and  
10 teachers are doing what they are here to do but  
11 not really thinking about the environmental  
12 impacts that are impacting students.

13 And so I think I just hear that there's a  
14 need for some education in that area and how do  
15 we tap into those avenues that really do the  
16 training and education for superintendents,  
17 principals, and those other persons above them  
18 who make those policy decisions and decisions for  
19 funding and what the funding will be used for.

20 I just wanted to say to Claire I'm in the  
21 Office of Innovation in Early Learning, but we do  
22 have an Office of Healthy Students -- School  
23 Environments and Students that I would love to  
24 connect you with so that we could be part of the  
25 National Healthy School -- Schools Week. I think

1 it's important. You named a couple of agencies  
2 that are a part -- involved in that, but I think  
3 it would be important to have the Department of  
4 Education showing as a partner in that.

5 So for me those are some of the things that  
6 I've been hearing across all of the  
7 presentations, and we need to beef up the public  
8 awareness for the funding that is out there that  
9 folks can tap into that could support the effort  
10 to remediate lead and support communities. So  
11 those are my thoughts.

12 **MS. BARNETT:** Thank you. We welcome the  
13 education department. Thank you so much.

14 **MS. BARNHILL-PROCTOR:** Uh-huh.

15 **MR. AMMON:** Thank you, Tammy.

16 All right, I'm going to go -- I see others  
17 on camera. Wallace.

18 **DR. CHAMBERS:** Yes. I'll be brief because I  
19 know a lot of people want to speak.

20 But, Claire, that was a eye-opening  
21 presentation. But I wanted to pose a question to  
22 you that I was thinking of that you had stated in  
23 one of your presentations. And I think people  
24 are looking for the government to come rescue  
25 them or get funding to get everything taken care

1 of which may sound like a idea that may not be  
2 feasible. But you asked a question in one of the  
3 presentations you did earlier about the role of  
4 nongovernment organizations in the public and all  
5 this and I'm kind of thinking to myself what can  
6 we do as the general public or nongovernment  
7 organizations to help alleviate this issue or  
8 resolve this problem?

9 And I'll just leave it at that. Thank you.

10 **MS. BARNETT:** Great question. Well, NGOs --  
11 nongovernmental organizations, nonprofits like us  
12 and like National Center for Health, many --  
13 sometimes they need federal funding, sometimes  
14 they're a pass-through for federal funding, but  
15 many of us rely on foundation grants. So it's  
16 the philanthropic community that needs to buy  
17 into this as an issue that can be resolved. They  
18 tend not to buy into perpetual education plans  
19 because they need -- you know, let's educate the  
20 people, educate people, educate people. They  
21 need to see something concrete at the end. So  
22 they invest in social change that has a permanent  
23 effect. So that's one thing that needs to  
24 happen.

25 I also think that you really need that kind

1 of support because the buildings that are in the  
2 worse condition are the buildings that were --  
3 always are hit by climate -- local climate  
4 disasters and are always hit by COVID. So these  
5 are black and brown communities in the poorest  
6 and most disadvantaged areas that have the worst  
7 problems and the fewest resources.

8 So I think there's a structural issue there  
9 about how we support those communities and how we  
10 support the poorest communities that have so many  
11 issues that are already on their plates beyond  
12 this one, right? And I don't think there are any  
13 simple answers on that. There haven't been for a  
14 long time. The schools are a great justice and  
15 civil rights issue and have been for a long time,  
16 the conditions of the buildings, and that goes  
17 across all of the issues. It's lead and  
18 asbestos, it's leaky roofs, it's Philadelphia  
19 "over hundred-year-old buildings and no state  
20 support" kind of thing.

21 But I -- there are a lot of people who need  
22 to say some -- that's a soapbox for everybody, I  
23 think. But thank you for the question. It's a  
24 really good one.

25 **MR. AMMON:** Thanks, Wallace.

1           Howard.

2           **DR. MIELKE:** Yes. Matt, you inspire me  
3           to -- in terms of persistence, and, you know,  
4           getting to doing something that's primary  
5           prevention. And I think back about Needleman's  
6           work, when we started to realize that the  
7           exposures that we were -- lead exposure that we  
8           were getting was affecting our ability to learn  
9           and our behavior early on and that that is a  
10          continuing issue that has seeped throughout our  
11          society. We have a big problem with learning and  
12          behavior and a lot of it can be traced back to  
13          the kinds of exposures that we all understand as  
14          being lead exposure.

15                 So what can we do? The projects that I've  
16          worked on in the last four decades involve air  
17          lead. And unfortunately it's invisible. It's  
18          not visible like paint is. And to get lead out  
19          of gasoline turned out to be an amazing -- was  
20          connected with a major change in the exposure  
21          that was taking place not only in our population  
22          but throughout the world, country after country.  
23          So we can continue and finish getting lead out of  
24          gasoline.

25                 Back in the '80s, we hesitated to get lead



1 of avgas because pilots were telling us that  
2 their small engines would fail. And we didn't  
3 want to endanger pilots and small -- you know,  
4 people who had small aircraft. So we kind of  
5 left that alone and we got lead out of gasoline.

6 Now we have the opportunity to get lead out  
7 of aviation fuel. Avgas. And the EPA is asking  
8 for comments on endangerment. And I would hope  
9 that there would be some mechanism or some way in  
10 which the pack can help move for -- put together  
11 a comment that would encourage the removal of  
12 lead from avgas. And that would go a long ways  
13 towards decreasing the amount of air lead and --  
14 which is invisible and decreasing the situations  
15 that we have right now around general aviation  
16 airports and across the city of Seattle.

17 I'm sitting right in front of Seattle  
18 Children's Hospital and I see planes, you know,  
19 prop planes going by all the time. That should  
20 be eliminated and we can do that.

21 **MR. AMMON:** Understood. Thank you,  
22 Dr. Mielke.

23 Let me go in the order that I saw people:  
24 and Perri, Jeanne, Erika, and Jill. I'll remind  
25 everybody.

1           **DR. RUCKART:** Matt, I want to save time for  
2 the panelists.

3           **MR. AMMON:** Sure.

4           **DR. RUCKART:** Please, give it to the other  
5 members. Thank you.

6           **MR. AMMON:** Okay. Jeanne, go ahead,  
7 Briskin.

8           **MS. BRISKIN:** Thanks very much. I really  
9 appreciated the really broad and deep  
10 presentations that we heard today. And  
11 understanding that one value of the group, the  
12 LEPAC, is to make recommendations, I'd like to  
13 second Howard's suggestion that the LEPAC  
14 consider making a suggestion not just about EPA's  
15 proposed endangerment finding for lead in  
16 aviation fuel but we heard an entire set of  
17 topics, issues, problems regarding children's  
18 exposure and employee exposure to lead in  
19 schools.

20           And I wonder whether the LEPAC would like to  
21 make recommendations on that, our primary topic  
22 today, because it seems we can have a benefit  
23 that goes beyond talking to each other and  
24 informing the particular individuals who are on  
25 our call, both panelists and attendees, by having

1 some type of written product that could be  
2 delivered to the relevant federal agencies.

3 Thanks for considering the idea.

4 **MR. AMMON:** Thanks, Jeanne.

5 Next we'll hear from Erika.

6 **DR. MARQUEZ:** Thank you. I think, Matt, you  
7 did a really great job in summing up some of the  
8 things that were key that I was thinking out  
9 throughout the day. And I appreciate all of the  
10 speakers that have joined us.

11 One thing that I think -- I just think that  
12 stood out in some of the presentations was  
13 obviously partnerships and how important those  
14 partnerships are to our work. And I was thinking  
15 about some of the things that are happening also  
16 in my state and some of the challenges when we  
17 think about those partnership.

18 So if we wanted to engage the School Nursing  
19 Association in supporting our lead poisoning  
20 prevention efforts, how do we get past some of  
21 the data challenges, the sharing data challenges?  
22 And I know this is not new to efforts that we try  
23 to embark on everyday, but I think thinking about  
24 how do we do that -- like, how do we -- how do I  
25 better engage with medical providers in

1 centralizing even data to children that are  
2 tested and tested high for blood lead -- elevated  
3 blood lead levels?

4 So I think those are just kind of the things  
5 that stuck out, that I think it's worth more  
6 discussion. I know that other states have been  
7 working really closely with their immunization  
8 programs to help centralize how we collect the  
9 data, how we share that with our providers and  
10 maybe even share that with other providers that  
11 may also engage in the care of some of the  
12 children that we service.

13 So I think that was one thing I think that  
14 stood out for me today in just thinking about the  
15 practicality of how do I engage more partners but  
16 understand that there's still all of these huge  
17 limitations?

18 **MR. AMMON:** Thank you, Erika.

19 And Jill.

20 **DR. RYER-POWDER:** I'm good. Sorry. I  
21 misraised my hand.

22 **MR. AMMON:** No problem.

23 Let me see. Patrick.

24 **DR. PARSONS:** Well, hi. I really enjoyed  
25 the presentations. And the take-home message

1 that I heard was drinking water, drinking water,  
2 drinking water, which oddly enough we call  
3 potable water in the lab. And normally it's all  
4 about blood lead, blood lead, blood lead.

5 I wanted to just mention something that, you  
6 know, was available in New York State which is a  
7 free lead testing pilot program which I thought  
8 was actually quite good. Some, you know, members  
9 of the public could get a free drinking water  
10 lead test by contacting the health department.  
11 And a local laboratory would be notified, send  
12 them a kit, and they get their -- they get two  
13 samples: a first drop sample and a flush sample  
14 for a dwelling. And that seemed like a really  
15 good idea. I was, you know, sorry to hear that  
16 program is going to end.

17 How many other states have programs like  
18 that where citizens, you know, particularly  
19 people who are on well water can get their  
20 drinking water tested? You know, that's part of  
21 primary prevention which I think is a point  
22 Howard raised. Primary prevention is important.  
23 The other piece that sort of strikes me from a  
24 lead perspective is that, you know, I don't think  
25 we've tapped into the capabilities of modern

1 X-ray fluorescence testing for screening, you  
2 know, items that can be sources of lead exposure.  
3 And I can tell you that, you know, from my  
4 personal experience, we've had all manner of  
5 things come through the lab which turn out to be  
6 contaminated with lead: spices, you know,  
7 children's toys, toy cell phone. That's the sort  
8 of thing we should be doing, is tracking down  
9 things that can be potential sources of lead.

10 So those are my thoughts on what I've heard  
11 today. Thanks.

12 **MR. AMMON:** Thank you, Patrick.

13 All right. Working down the list, Kristina  
14 Hatlelid.

15 **DR. HATLELID:** Sorry, I had to find my mute  
16 button. I don't know that I have anything more  
17 right now. Some of the things that I just heard  
18 from Patrick I think that I would jump on, both  
19 in trying to pin down additional sources of lead  
20 that my agency might need to take a look at or  
21 others to get information that's actionable data  
22 on products.

23 **MR. AMMON:** All right, thank you.

24 Karla Johnson.

25 **MS. JOHNSON:** I want to say there were lots

1 of things that I heard today, but I agree that --  
2 I heard a lot about water. I was really  
3 pleasantly -- I'm not surprised, Matt, I guess  
4 not pleasantly really. But, you know, when we  
5 talk -- or when there were discussions about the  
6 childcare facilities and their fear of getting --  
7 and schools and their fear of being tested,  
8 having their water tested because what were they  
9 going to do? Those are the things that we  
10 encountered here in Indianapolis. A lot of times  
11 schools -- or they have to report to the parents  
12 and all of those things that scare the schools  
13 off from wanting to find out the truth about the  
14 water situation.

15 So I do think that there are things that we  
16 need to do better for these facilities, these  
17 organizations so that they can feel safe enough  
18 to learn the truth about the risks that they may  
19 have in their facilities without fear of all  
20 sorts of horrible consequences. That's the first  
21 thing.

22 The second thing, I think and hope that we  
23 never lose sight of it and at least as long as I  
24 have a voice, I'm going to beat this drum, and  
25 that is why we want to talk about identifying

1 children. We can't forget them once we've  
2 identified them. So I have said before and I'll  
3 say again and again that we talk a lot about  
4 identifying the sources, identifying the  
5 children. And unless I'm missing it somewhere, I  
6 don't hear about what we do with these children  
7 as they begin to age and grow.

8 And as some people may or may not know, I  
9 have a child that's been lead-poisoned. He was  
10 lead-poisoned at one. He's twenty -- how old is  
11 he? He's -- I think he's 24 at this point. And  
12 so me, as a parent, I got a lot -- well, I didn't  
13 really get a lot of help actually. I was able to  
14 help myself.

15 But, you know, there's the identification  
16 and all of the emphasis on this one-year-old  
17 child, these young children. He was a  
18 lead-poisoned fourth-grader. He was a  
19 lead-poisoned freshman in high school. He was a  
20 lead-poisoned -- and so there's all of these  
21 impacts that he has had to deal with growing up  
22 and he still will deal with now and for the rest  
23 of his life.

24 And I feel like sometimes we forget that  
25 these children, once we've identified them, once



1 we've identified this source, once we try to  
2 prevent other children from being poisoned again,  
3 these children are still poisoned and we can't  
4 forget them.

5 And so, again, to bring this back, I'm  
6 encouraged by some of the work that many of these  
7 organizations are doing. I just want to say  
8 don't forget that that four-year-old poisoned  
9 child becomes a twenty-four-year-old poisoned  
10 adult. Thank you.

11 **MR. AMMON:** Thanks, Karla.

12 Anshu.

13 **DR. MOHLLAJEE:** Sorry, I have to unmute in  
14 two places.

15 I think I'm struck by, in a way, how Karla  
16 pointed out there's identification of the child  
17 who's lead poisoned and then what happens  
18 afterwards? What is the support given to that  
19 child's family and, as Karla's pointing out,  
20 beyond, you know, the elementary school years?

21 And then once again, we've talked about it  
22 several times now but the identification of lead  
23 in the drinking water and the faucet and then  
24 what? The remediation. And so as someone who  
25 works in a public health department, I'm thinking

1 of all the ways -- what can we do to support our  
2 communities? And based on the information that's  
3 been provided, I know I want to look at the EPA  
4 guide, look at the different resources available  
5 and try to have a roadmap or a guide, as  
6 imperfect as it is, to help people, you know,  
7 find ways to -- a solution at the end of the day.

8 I also will say that this is probably the  
9 most personal meeting I've had because it touched  
10 on so many parts of my identity. I am Indian so  
11 I'm very aware of the lead found in sindoor,  
12 coal, and spices. I'm a parent of a six-year-old  
13 and ten-year-old. I'm a PTA president.

14 So I want to -- Claire, I could really --  
15 was really amazed at how she knew the  
16 complexities and the difficulties of working with  
17 school districts and the role of the parent  
18 association also in these processes.

19 And so for me, it's just been really  
20 interesting to see how parts of my identity and  
21 then also my work, how it's all kind of  
22 integrated as well. So I just wanted to state  
23 that too. Thank you.

24 **MR. AMMON:** Thank you.

25 Lauren.

1           **DR. ZAJAC:** Hello, everyone. It's been a  
2 great day, great meeting. So thank you. I'm  
3 happy to participate. Just to remind everyone,  
4 I'm a pediatrician and I'm the liaison from the  
5 American Academy of Pediatrics. But I -- like  
6 all of us, I wear many hats.

7           You know, echoing what's been said, you  
8 know, partnerships is critical. And AAP as an  
9 organization is very large, and I'm just familiar  
10 with very small parts of it. And today, with  
11 Donna's talk, for example, my wheels were turning  
12 about possible cross-collaborations, especially  
13 with health professionals and working on school  
14 health.

15           And then Erika mentioned, you know,  
16 leveraging existing tools like the immunization  
17 registry. And here in New York City, our  
18 citywide immunization registry, not only does it  
19 have vaccines but it contains a child's blood  
20 lead level history and also the results from the  
21 home inspection, what lead hazards were found.  
22 And that's incredibly useful for all providers  
23 involved in the child's care.

24           So I think kind of piggybacking on what  
25 infrastructure already exists to better connect

1 people is a great step moving forward. And, you  
2 know, with school specifically, there was a lot  
3 of attention in the past few years on school  
4 ventilation in the wake of COVID and I think we  
5 really just need to keep school environmental  
6 health at the forefront of people's minds: so  
7 indoor air quality, lead, et cetera.

8 And, you know, for example, you know, with  
9 my -- wearing my parent hat of a New York City  
10 public school student, the city put up for every  
11 single classroom in the big school district a  
12 ventilation check. And so I can go online and  
13 make sure that her classroom passed that the  
14 ventilation is working. And so thinking of, you  
15 know -- so there's this infrastructure in place.  
16 So how can we expand it to include things like  
17 lead?

18 And then the final point is there's a lot of  
19 focus on water, but I do want to echo what others  
20 have said about other products, products brought  
21 into school, but also paint. One of my patients,  
22 a 14-year-old with some neuro-developmental  
23 challenges who has pica and his source of lead  
24 was going into a school bathroom and peeling  
25 paint chips off the wall. And so, you know,

1 while water's important, you know, we should also  
2 keep in mind the bigger picture.

3 And also, Karla, I really -- what you said  
4 about continuing to provide support services for  
5 children who were exposed, I heartily agree, and  
6 I think any investment we could make in a child's  
7 brain development over the course, you know, of  
8 their childhood, adolescence to adulthood, is  
9 money well spent.

10 So that's it and thank you all for  
11 listening.

12 **MR. AMMON:** Thanks, Lauren.

13 Nathan.

14 **DR. GRABER:** Okay. I think I'm there. For  
15 some reason I don't see myself on the camera.  
16 But so I think this was a really terrific  
17 meeting. I'll start by just expressing my, you  
18 know, appreciation for Dr. Breyse and also the  
19 other members of the committee that I've had the  
20 opportunity to work with over these years who are  
21 retiring. Everybody has made really incredible  
22 contributions towards addressing lead exposure.  
23 And, you know, I personally am going to miss  
24 everybody as I'm sure the folks at CDC will as  
25 well.

1           You know, this was a really good meeting. I  
2 appreciate it. You know, we were talking about a  
3 different topic than we have in past meetings. I  
4 think it brings to light some of the ideas and  
5 concepts of, you know, for the future directions  
6 that work needs to be done in, things that we  
7 haven't really thought about as a committee  
8 before.

9           I want to add something a little bit to  
10 that. You know, when you think about lead, you  
11 know, exposure, as one of those factors that can  
12 negatively impact on a child's well-being and  
13 potential. There are a lot of factors that can  
14 impact on children's well-being and potential.  
15 And we know that, like, you know, that lead  
16 exposure can impact on certain communities more  
17 than others. There are certainly inequities that  
18 exist.

19           And that lead exposure, you know, when we  
20 see a kid with, you know, levels of lead, you  
21 know, even just, you know, showing some degree of  
22 exposure, you know, we're sometimes seeing --  
23 it's a proxy into a world where there are a whole  
24 host of other issues, not just -- you know, not  
25 just this lead exposure.

1           And, you know, once -- you know, we know  
2           that, you know, there's no safe level of lead;  
3           that once that damage is done, you can't undo the  
4           specific damage that the lead has done. But  
5           there are ways, you know, to help that child  
6           overcome that damage that's been done. There are  
7           many variables in terms of -- in terms of  
8           improving their potential outcome, including  
9           things like being in, you know, an intellectually  
10          enriching environment, some very supportive  
11          environment, having a strong community around  
12          them. There are lots of ways to address that.

13          And looking at lead as, you know, a proxy  
14          into that world, you know, we have to think also,  
15          you know, like let's think a lot more about, you  
16          know, the primary prevention from that lead  
17          exposure. And as we identify that, you know,  
18          think about the other things in that community,  
19          in that home, in that environment that are also  
20          impacting on them. And, you know, is this an  
21          opportunity for us to coordinate with other  
22          stakeholders that can help make an impact, you  
23          know.

24          And I'll talk, you know, just a little  
25          bit -- a little bit more. You know, we know

1 that the -- you know, the most effective way to  
2 address lead is primary prevention. We heard a  
3 lot about the ways of identifying those sources  
4 and eliminating them or at least minimizing them  
5 as best we can in a world that has historical  
6 contamination.

7 And, you know, in the work we do, you know,  
8 as, you know, Lauren was talking about, you know,  
9 as a pediatrician, we -- you know, we deal with  
10 our patients. We don't always have the  
11 ability -- we don't have the ability to go out to  
12 a child's home and address all of these other  
13 things. We're always dependent on other  
14 stakeholders to deal with whatever issues the  
15 family or the children are going through.

16 And so when it comes to lead exposure, we  
17 rely on our lead programs and our local health  
18 departments. You know, how do they know that a  
19 child is lead-poisoned? Well, we tell them but  
20 they also have, you know, access to the data.  
21 There's information sharing. And that's such an  
22 important piece of this.

23 You know, I'm also the parent of a public  
24 health -- of a public school student here in  
25 Albany, and I have -- one is a graduate of the



1 public schools. And, you know, one of the things  
2 that's nice about the program that was launched  
3 here in New York State, the testing of the  
4 drinking water, is that I can go onto the state  
5 website and I can find all of the testing water  
6 results. So I know exactly what the results were  
7 for my child's school. And also the school  
8 shares that information. That is such an  
9 important factor here because now everybody has  
10 access to the information. All the stakeholders  
11 can see, you know, where the issues are and start  
12 to address them.

13           And I think we see an opportunity when it  
14 comes to our relationship between healthcare  
15 providers, public health agencies, and now the  
16 school nurses. All right, here's an opportunity  
17 for us to share information that can be used in  
18 many ways, you know, one, to address the lead  
19 issues but also to address other potential issues  
20 that, you know, sometimes that lead exposure  
21 represents.

22           And, you know, so much of this work, you  
23 know, has to be done at the local level. That  
24 support, though, has to come from all levels, you  
25 know. It's the money from federal, state

1 governments, the laws, regulations, and  
2 enforcement at all levels of government but also,  
3 like in the case we heard about with daycare  
4 centers, which is a much more challenging  
5 arrangement, there's -- there's the -- there we  
6 go. Now I figured it out, why it wasn't working.

7 Okay. So in this case, you know, maybe not  
8 laws, regulations, and enforcement but maybe  
9 it's, you know, having supported programs. I  
10 know Pat mentioned the free water testing program  
11 that New York State has, the ability for them to  
12 access information to get the support they need  
13 to do the testing, to get the support they need  
14 to remove sources as are identified and address  
15 them. You know, that's not something that just  
16 one daycare operator who's dealing with all of  
17 the issues of operating their small, you know,  
18 family business and taking care of all of these  
19 kids and managing their employees that -- you  
20 know, that's not something that they could -- so  
21 easy for them to also take on. You know, that's  
22 another work-force resource issue that exists in  
23 the world of addressing, you know, potentials of  
24 lead exposure.

25 So, you know, in summary I don't want us to

1 keep -- to lose sight of the fact that we know  
2 that the -- you know, still the greatest source  
3 of exposure is going to be the home and it's  
4 going to be deteriorating lead-based paint. But  
5 we also have to keep our eye to the future that  
6 as that's becoming, you know, less and less of an  
7 issue over time -- although it's going to be an  
8 issue for quite some time unless there's some,  
9 you know, major, major change -- that these other  
10 sources are, you know, gaining their importance  
11 and in their proportion of the impact on a  
12 child's total lifetime, you know, lead exposure.

13 So I'll leave it at that. You know, I just  
14 want to say thank you again to all of the  
15 presenters. I think this was a terrific meeting  
16 today.

17 **MR. AMMON:** Thanks, Dr. Graber.

18 Claire, hold on one second. Let me just  
19 make sure I got everybody on the list. I have --  
20 anybody I missed?

21 Stephanie, I believe. You wanted to talk?

22 **DR. YENDELL:** Yes. Hi, this is Stephanie  
23 Yendell. And I think the thing that I can really  
24 contribute that I took away from today is  
25 thinking through that balance of how we look

1 comprehensively at all of these little exposures  
2 across a variety of agents. So we talked a lot  
3 today about lead exposure in schools. And in  
4 many of those cases, we're talking about older  
5 children that are above the age of six where many  
6 of our childhood lead programs focused their  
7 efforts.

8 And we talked a lot about water, and water  
9 is a very important source of lead exposure. But  
10 also lead-based paint in homes contributes a  
11 greater source for our kids that we're going out  
12 and doing the investigations because they have  
13 elevated blood lead levels.

14 And so I think that for us is balancing --  
15 really focusing on the traditional sources of  
16 lead exposure and what we're seeing for our most  
17 vulnerable kids and our kids with the highest  
18 level of exposures, along with the -- also  
19 considering primary prevention, other ages of  
20 kids, not forgetting about some of those  
21 children, especially older kids  
22 with developmental delays.

23 Some of the examples were children that were  
24 having some increased hand-to-mouth behavior at  
25 older ages and so making sure that those kids are

1 not falling through the cracks while losing our  
2 focus on the kids that are most vulnerable  
3 statistically in the greatest numbers. Thanks.

4 **MS. KHAN:** Just a quick time check to move  
5 on to next steps.

6 **MR. AMMON:** Okay. I'm going to let  
7 Claire -- because I'm -- I can talk pretty  
8 quickly. So I'm going to wrap --

9 **MS. KHAN:** Okay, great.

10 **MR. AMMON:** So I'm last but I want to make  
11 sure -- Claire, if you could quickly ...

12 **MS. BARNETT:** Right. Thank you. Thank you  
13 so much. You know, I had something in my notes  
14 here and I didn't bring it up, but it's something  
15 that might belong in a letter of recommendations  
16 back to an agency. When CDC issues a public  
17 health advisory -- and they do lots of them on a  
18 variety of topics -- we need to think about how  
19 that is distributed and where it goes. Does it  
20 go to the education departments? Does it go to  
21 public schools?

22 So we ran into that for the first time in  
23 July of 2008 when there was an MMWR report on  
24 synthetic turf shedding high levels of lead. It  
25 was in the public health system. I was tracking

1 things like that at the time. I picked it up,  
2 looked at it, I sent it to the education  
3 department and said this -- you know, you need to  
4 put this into circulation. It's June. People  
5 are going to be out, using playgrounds, using  
6 play areas. We need -- people need to know. And  
7 they said, Well, we really can't distribute it.  
8 We have to rewrite it before we distribute it.  
9 So the answer is it never went out.

10 So I think that's something to take a look  
11 at. Maybe CDC can do something about connecting  
12 with the K-12 and the childcare providers at a  
13 state level so you can get information  
14 distributed when you need to get it distributed.

15 Thanks.

16 **MS. BARNHILL-PROCTOR:** I agree, Claire.

17 **WRAP UP AND DISCUSS TOPICS FOR NEXT MEETING**

18 **MR. AMMON:** Thanks, Claire.

19 So last couple minutes of today's meeting.  
20 And I agree with everybody. It was a great  
21 series of presentations and a really robust set  
22 of conversations. And, you know, it's days like  
23 this where you start thinking of all the things  
24 that you need to be doing, even though you're  
25 already doing a lot, but the things that, you

1 know, continually drive you to really make a  
2 difference in the people that we serve.

3 And I do want to say before I go into final  
4 closing comments and next steps is I want to  
5 thank everybody who has served, especially those  
6 of course that are leaving. You know, I said  
7 it's not -- you know, it's still a tight-knit  
8 group. So I always run into the same people in  
9 different circles around the country. And I  
10 certainly expect that to happen. But we've made  
11 a lot of progress with this group over the last  
12 couple of years. And I'm very much hearing from  
13 you all and hearing from not only your  
14 experiences but your ideas, your enthusiasm, and  
15 keeping us all really in check with reminding us  
16 at the end of the day, you know, who we serve,  
17 which is really the families and the communities  
18 and the children and other vulnerable residents  
19 that we're trying to improve.

20 So again thank you very much for all of the  
21 work that you have done and, you know, enjoy your  
22 next endeavor which hopefully is either  
23 comfortable retirement or doing something  
24 different, but we appreciate all of the work that  
25 you have put into this and, of course, everything

1 that you've done collectively with your work.

2 So let me just pause here and shift gears  
3 just slightly for the last just ten minutes. And  
4 that is to go back to what we had talked about in  
5 our LEPAC meeting in May, May 12th. Just like  
6 today, we heard a lot about adult lead exposure  
7 in the United States. And we had received  
8 feedback on where we wanted LE -- what we wanted  
9 LEPAC to do and what we could propose to do in  
10 terms of ideas for looking at information and  
11 what would be the next steps. And really based  
12 on that, based on the discussions, a full day of  
13 discussions, you know, for the most part in May,  
14 I'm proposing a workgroup to gather and review  
15 information on relevant literature and really  
16 consult with experts to define and update the  
17 status of adult lead exposures in the United  
18 States.

19 And, again, this was recommended from  
20 everybody in terms of having a focus on this.  
21 So, much like we had with the blood lead  
22 reference value group, you know, we're expecting  
23 this workgroup to generate and present a final  
24 report to the LEPAC for review and consideration.  
25 And, you know, the recommendations will help



1 inform CDC and ASTDR's future lead-related  
2 priorities and activities.

3 I'm certainly taking that body of  
4 information and turning policy and turning those  
5 recommendations into policy -- I'm sorry -- or  
6 action.

7 So just some of the topics that were raised  
8 last time for discussion, again, with a focus, a  
9 workgroup on adult exposures. Some of the topics  
10 we've talked about was epidemiology of lead --  
11 adult lead exposures, take-home lead exposures  
12 from jobs and hobbies -- and there's a lot of  
13 work that's already being done in coordinating  
14 with a federal action plan, your agency  
15 workgroup, which is why I expect there to be  
16 crossover -- effects of long-term exposures,  
17 including exposures during childhood on  
18 cardiovascular and other diseases.

19 Of course, we want to know about best  
20 practices for preventing lead exposure in adults,  
21 other type of EJ or health equity implication of  
22 lead exposure in adults, and, of course,  
23 communication strategies, you know, regarding  
24 adult lead exposures and long-term health  
25 effects. So as we had with the BLRV, we would

1 expect a workgroup to consist of about five to  
2 seven members, including at least two special  
3 government employee volunteers from the LEPAC,  
4 one of them who would serve as the chair. And,  
5 you know, the workgroup would meet as often as  
6 needed to address specific issues in order to  
7 prepare a final report, as well as, you know,  
8 give briefings when necessary, ultimately  
9 generating a final report based on the  
10 information gathered and discussed during this  
11 meeting.

12 So we'll be sending up a follow-up e-mail  
13 regarding this, but we would hope that you would  
14 consider serving on this workgroup. Just as  
15 today was about schools, again, a priority that  
16 we have focused on a lot in this -- in LEPAC has  
17 been on adult exposures. So, again, expect a  
18 follow-up e-mail and, again, hope you would  
19 consider serving as part of this workgroup.

20 You know, there is -- the BLRV had -- you  
21 know, that work has been communicated across the  
22 U.S. and it was almost in everything that we talk  
23 about in the department. I know many of you  
24 refer back to it too. So just a great  
25 opportunity to make some strides and make some

1 real good recommendations regarding adult lead  
2 exposures.

3 So with that we only have a couple of  
4 minutes. I want to make sure I turn it over just  
5 to make sure if we have any follow-up or  
6 anything.

7 Dr. Allwood, if you wanted to say anything  
8 in terms of follow -- concluding comments or  
9 anything.

10 **DR. ALLWOOD:** Not much, Matt. Just again to  
11 thank everybody for -- and all of our presenters,  
12 obviously, but also everyone that attended the  
13 meeting. I kind of kept my eye on the attendance  
14 and saw that we had really large numbers  
15 attending the meeting, you know, pretty much all  
16 day and that is very special.

17 And I think this reinforces the work that we  
18 do here is of, you know, high value to, you know,  
19 a broad cross section of individuals. And so,  
20 you know, we are really grateful to have all of  
21 you, all of our LEPAC members, and everyone that  
22 has provided support for the LEPAC and also  
23 attended and participated in our meetings.

24 I also wanted to just maybe say one thing on  
25 the -- that workgroup that Matt just spoke about.

1 And just to kind of reinforce the great value  
2 that the LEPAC has brought, you know, in its  
3 relatively short existence to this point in that,  
4 you know, BLRV update that was recommended by the  
5 LEPAC is being -- has been held up as the great  
6 example of what the federal advisory committees  
7 can do, you know, to help government with its  
8 planning and programming. So hopefully we will  
9 be able to get, you know, strong interest and  
10 strong support for a workgroup as Matt proposed.

11 Thanks again, everybody.

12 **MR. AMMON:** Thank you for those comments.  
13 And lastly, again, as Dr. Allwood said, I really  
14 appreciate everybody's presentations. They were  
15 very informative. I always learn something at  
16 these meetings and I appreciate not only all of  
17 the participants but everybody who listened to  
18 today. I mean, again, collectively, collectively  
19 these partnerships make a difference whether  
20 you're participating or listening. We're all  
21 connected. We're very much all connected in this  
22 work. So I appreciate it.

23 So as we say at HUD, safe and healthy. So  
24 have a safe and healthy holiday season. I look  
25 forward to seeing you all sometime in '23. And

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

with that I will say again thanks for everybody  
being here. Take care and have a great holiday.  
Take care.

(Concluded at 4:45 p.m.)

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

CERTIFICATE

STATE OF GEORGIA

I, Mary K McMahan, Certified Court Reporter, CVR, RPR, FPR, CCR 2757, hereby certify that the foregoing pages constitute a true, correct and accurate transcript of the hearing heard before me, an officer duly authorized to administer oaths, and was transcribed under my supervision.

I further certify that I am a disinterested party to this action and that I am neither of kin nor counsel to any of the parties hereto.

In witness whereof, I hereby affix my hand on this, the 21st day of December, 2022.

\*\*Mary K McMahan\*\*  
Mary K McMahan, CCR, RPR, FPR, CCR 2757